

DEPARTMENT OF HEALTH AND HUMAN SERVICES

Nineteenth Meeting
of the

**SECRETARY'S ADVISORY COMMITTEE
ON
GENETICS, HEALTH, AND SOCIETY
(SACGHS)**

+ + +

**Friday
June 12, 2009**

– VOLUME II –

+ + +

Hubert H. Humphrey Building
200 Independence Ave., SW
Washington, DC

PARTICIPANTS:**Committee Members**Committee Chair**Steven Teutsch, M.D., M.P.H.**

Chief Science Officer

Los Angeles County Department of Health

Mara Aspinall, M.B.A. [by telephone]

President and CEO

Vivir Biosciences

Sylvia Mann Au, M.S., C.G.C.

Hawaii State Genetics Coordinator

Genetics Program

Hawaii Department of Health

Paul Billings, M.D., Ph.D., F.A.C.P., F.A.C.M.G.

GeneSage Inc.

Director and Chief Science Officer

Genomic Medicine Institute

David Dale, M.D.

Professor of Medicine

University of Washington

Gwen Darien

Director

Survivor and Patient Advocacy

American Association for Cancer Research

Rochelle Dreyfuss, M.A., J.D. [by telephone]

Pauline Newman Professor of Law

New York University School of Law

James P. Evans, M.D., Ph.D.

Professor of Genetics and Medicine

Director of Clinical Cancer Genetics and the

Bryson Program in Human Genetics

Departments of Medicine and Genetics

University of North Carolina at Chapel Hill

Andrea Ferreira-Gonzalez, Ph.D.

Professor of Pathology

Director, Molecular Diagnostics Laboratory

Virginia Commonwealth University

PARTICIPANTS *(continued)* :**Julio Licinio, M.D.**

Professor and Chairman
Miller School of Medicine
Department of Psychiatry and Behavioral Sciences
University of Miami

Barbara Burns McGrath, R.N., Ph.D.

Research Associate Professor
School of Nursing
University of Washington

Samuel Nussbaum, M.D.

Executive Vice President
Clinical Health Policy
Chief Medical Officer
WellPoint, Inc.

Charmaine D. M. Royal, Ph.D.

Associate Research Professor
Institute for Genome Sciences and Policy (IGSP)
Duke University

Sheila Walcoff, J.D.

Partner
McDermott, Will & Emery, LLP

Marc S. Williams, M.D., F.A.A.P., F.A.C.M.G.

Director
Clinical Genetics Institute
InterMountain Healthcare

Paul Wise, M.D., M.P.H.

Richard E. Behrman Professor of Child Health and Society
Stanford University

Ex Officios

Department of Commerce**Michael Amos, Ph.D.**

Scientific Advisor
Chemical Science and Technology Laboratory
National Institute of Standards and Technology

PARTICIPANTS *(continued)* :**Department of Defense****Adam B. Kanis, M.D., Ph.D.**

Lieutenant Colonel, Medical Corps, U.S. Army
Chief, Medical Genetics
Tripler Army Medical Center
Department of Pediatrics

Department of Health and Human Services**Naomi Goldstein, Ph.D.**

Director
Office of Planning, Research and Evaluation
Administration for Children and Families

Gurvaneet Randhawa, M.D., M.P.H.

Medical Officer
Center for Outcomes and Evidence (COE)
Agency for Healthcare Research and Quality

Scott Bowen, M.P.H., on behalf of Muin Khoury

Deputy Director
Office of Public Health Genomics
Centers for Disease Control and Prevention

Barry M. Straube, M.D.

Chief Medical Officer
Office of Clinical Standards and Quality
Centers for Medicare and Medicaid Services

Jeffrey Roche, M.D.

Medical Officer
Coverage and Analysis Group
Office of Clinical Standards and Quality
Centers for Medicare and Medicaid Services

Alberto Gutierrez, Ph.D.

Deputy Director
Office of In Vitro Diagnostic Device Evaluation and Safety
Center for Devices and Radiological Health
U.S. Food and Drug Administration

Denise Geolot, Ph.D., R.N.

Director
Center for Quality
Health Resources and Services Administration

Alan E. Guttmacher, M.D.

Acting Director
National Human Genome Research Institute

PARTICIPANTS *(continued)* :

Phyllis Frosst, Ph.D.

Head, Policy and Program Analysis Branch
National Human Genome Research Institute

Robinsue Frohboese, J.D., Ph.D.

Principal Deputy Director
Office for Civil Rights

Jennifer Weisman, Ph.D.

American Academy for the Advancement of Science Fellow
Office for Civil Rights

Michael A. Carome, M.D.

Associate Director for Regulatory Affairs
Office for Human Research Protections
Acting Ex Officio
Office of Public Health and Science

Department of Veterans Affairs

Ellen Fox, M.D.

Director
National Center for Ethics in Health Care

Douglas Olsen, Ph.D., R.N.

Nurse Ethicist
National Center for Ethics in Health Care
Veterans Health Administration

Federal Trade Commission

Sarah Botha, J.D.

Attorney
Bureau of Consumer Protection
Division of Advertising Practices

SACGHS Staff

Executive Secretary

Sarah Carr

NIH Office of Biotechnology Activities

Cathy Fomous, Ph.D.

Senior Health Policy Analyst
NIH Office of Biotechnology Activities

Kathryn Camp

Senior Health Policy Analyst
NIH Office of Biotechnology Activities

PARTICIPANTS *(continued)* :

Darren Greninger
Senior Health Policy Analyst
NIH Office of Biotechnology Activities

Speakers

Sylvia Mann Au, M.S., C.G.C.
Hawaii State Genetics Coordinator
Genetics Program
Hawaii Department of Health

Gurvaneet Randhawa, M.D., M.P.H.
Medical Officer
Center for Outcomes and Evidence (COE)
Agency for Healthcare Research and Quality

Harold Sox, M.D., M.A.C.P.
Chair
Institute of Medicine Committee on Comparative
Effectiveness Research Prioritization

Michael Lauer, M.D.
Director, Division of Cardiovascular Sciences
National Heart, Lung and Blood Institute
National Institutes of Health

Steven Goodman, M.D., M.H.S., Ph.D.
Professor of Oncology
Sidney Kimmel Comprehensive Cancer Center
Johns Hopkins University School of Medicine

Marc S. Williams, M.D., F.A.A.P., F.A.C.M.G.
Director
Clinical Genetics Institute
InterMountain Healthcare

Jeffrey Roche, M.D., M.P.H.
Medical Officer Division of Items and Devices
Coverage and Analysis Group
Office of Clinical Standards and Quality
Centers for Medicare and Medicaid Services

William (Greg) Feero, M.D., Ph.D.
Senior Advisor to the Director for Genomic Medicine
National Human Genome Research Institute

PARTICIPANTS *(continued)* :

Rebecca Kush, Ph.D.

Chairman and CEO

Clinical Data Interchange Standards Consortium (CDISC)

Jessica Nadler, Ph.D.

AAAS Science and Technology Policy Fellow

HHS Personalized Health Care Initiative

CONTENTS

	<u>Page No.</u>
Opening Remarks	
Steven Teutsch, M.D., M.P.H.	10
 DIRECT-TO-CONSUMER GENETIC TESTING	
Presentation of Draft Report on Direct-to-Consumer Genetic Testing	
Sylvia Au, M.S., C.G.C.	11
Committee Discussion	24
 CLINICAL UTILITY AND COMPARATIVE EFFECTIVENESS	
Clinical Effectiveness, Clinical Utility, Comparative Effectiveness: An Evolving Landscape	
Gurvaneet Randhawa, M.D., M.P.H.	65
Future Directions and the Role of Genomics in Comparative Effectiveness	
Harold Sox, M.D., M.A.C.P.	84
Question-and-Answer Session	99
Role of Genomics in Comparative Effectiveness Research: NIH Perspective	
Michael Lauer, M.D.	106
Question-and-Answer Session	122
Future Directions and Developments in Research Methodologies	
Steven Goodman, M.D., M.H.S., Ph.D.	125
Question-and-Answer Session	143
Impact of Comparative Effectiveness Findings on Clinical Practice	
Marc Williams, M.D.	147
Committee Discussion	167
 FEDERAL ACTIVITIES RELATED TO GENETICS/GENOMICS	
Report from CMS on Evidentiary Standards for Coverage Decisions on Genetic Tests	
Jeffrey Roche, M.D., M.P.H.	205
Question-and-Answer Session	221

CONTENTS *(continued)*Page No.

Updates Regarding Family History: Family History Tools and NIH's Upcoming State-of-the-Science Conference	
William (Greg) Feero, M.D., Ph.D.	223
Health IT and Standards to Support Clinical Research: Combining Clinical and Genomics Data	
Rebecca Kush, Ph.D.	243
Jessica Nadler, Ph.D.	251
Question-and-Answer Session	257
PUBLIC COMMENT SESSION	
Jeff Voigt	261
Closing Remarks	
Steven Teutsch, M.D., M.P.H.	267
Adjournment	271

PROCEEDINGS

[8:30 a.m.]

Opening Remarks

Steven Teutsch, M.D., M.P.H.

DR. TEUTSCH: Good morning, everyone. Welcome back. I hope you all had a good evening here in D.C. We have several things to do today. We are going to begin the morning with a follow-up to the discussions from our last meeting on direct-to-consumer genetic testing. The chair of that taskforce, Sylvia Au, is going to summarize the report, which I think should still be in Tab 5.

When we broke up yesterday, we had agreed that we would get back to David Blumenthal on some of the issues that came up yesterday regarding HIT. Marc captured those thoughts in what we think would be a reasonable document to send back to him. What you will find soon at your places is a draft memo. Since they are meeting already, I think, on Tuesday, what we would really like to do is to get your agreement that that content is on target so that we can go forward.

While we want you to pay full attention to Sylvia, we know most of you work at 150 percent capacity.

Take a quick look at that and then we will get back to it and make sure that that is on target. First, Sylvia.

DIRECT-TO-CONSUMER GENETIC TESTING

Presentation of Draft Report on Direct-to-Consumer

Genetic Testing

Sylvia Au, M.S., C.G.C.

[PowerPoint presentation.]

MS. AU: Thank you, Steve. First, I'm going to present what the taskforce has been doing in the three months since the last time we had our meeting. Then we are going to have some time for discussion of the draft paper.

For those of you who have been on the committee, you know that this is super speedy. We have never done anything this quickly, except for a letter. Sometimes the letters take longer than this.

I want to start by going through some of the background and intent of the paper, some of what we are saying in the paper, and the recommendations.

During the last meeting, we had established a short-term taskforce to look at direct-to-consumer genetic testing. The objective of the paper was to

outline the benefits and concerns related to direct-to-consumer testing, highlight our prior SACGHS recommendations that might address those concerns -- we thought that might be a good way of bringing back some of the concerns and recommendations that we had for other things to the new secretary -- and also identify issues that are not adequately addressed by our recommendations that we have made and that the committee might want to consider for future work.

Of course, as with all activities, we have a wonderful, educated, informed taskforce. A lot of these people owe me big favors for 4:30 a.m. conference calls.

I was just telling Cathy, I should have scheduled a 4:30 a.m. conference call for you on the east coast just so I could do some payback.

Of course, I want to thank Cathy because she has done the lion's share of the work. She has been wonderful. For those of you who are new to the committee, we have the most wonderful staff of any committee ever. We want to keep that secret so no one steals them.

The goal of this session is that we are going

to come to some consensus, hopefully some happy medium, about issues related to direct-to-consumer genetic testing, the prior recommendations that we want to bring forward to the Secretary that relate to this area, and any remaining concerns that may require additional action by this committee.

Of course, we always try to limit the scope of our paper because we don't want to address everything under the sun. This direct-to-consumer genetic testing the taskforce decided would be limited to risk assessments, diagnosis of disease or health conditions, information about drug response, or other phenotypic traits. We excluded forensic analysis, ancestry testing, and paternity testing as much as we could. We also kept the definition of "genetic testing" from the Oversight paper, to be consistent. Because the recommendations from the Oversight paper address that definition, we didn't want to change it.

The intent of this paper recognizes that, of course, as usual, not all the concerns of direct-to-consumer genetic testing relate solely to direct-to-consumer genetic testing. They have great overlap, just

like all our other papers do.

We also do identify issues that may be unique to direct-to-consumer genetic testing if a consumer's personal health provider is not involved in the testing.

Sometimes government regulations that pertain to genetic testing may not apply to direct-to-consumer genetic testing because of the way that the testing is done.

We will start with the benefits of direct-to-consumer genetic testing. The taskforce identified many benefits because, obviously, we know that there must be some reason that people would want to have direct-to-consumer genetic testing. We feel that it offers increased availability and access to genetic testing. It supports consumer empowerment and autonomy.

It promotes health literacy. That was one of the things that we discussed in detail because it would hopefully drive the consumer to learn a little bit more about genetic testing. It might drive their health care provider to learn a little bit more about genetic testing if there was direct-to-consumer genetic testing done.

It supports adoption of health-promoting behaviors, hopefully. If someone got a result that said

that they were at higher risk for XYZ disease, they might change their health behavior to become healthier.

It provides an alternate route to medical research. There are research aspects to some of these companies, and that might be a route to research, as the Parkinson's Disease Foundation told us about yesterday, that consumers might want to take.

It offers confidential access to genetic testing to those that might be concerned that there might be adverse action such as discrimination against them if the results were known.

So, our concerns about direct-to-consumer testing. The unprecedented speed at which the genetic technologies are involving and being translated into commercial products and then sold directly to consumers has raised definite concerns in the past for us. As in our Oversight paper, we do have concerns about test quality and analytical validity. We also have some consensus about a lack of standardized terminology for genetic variants, standards to select and validate variants used in assessing disease risk, and standard criteria in assessing aggregate risk. That we had

discussed during our last meeting.

We have, of course, as we did in the Oversight paper, limited evidence of clinical validity and/or clinical utility of certain tests, particularly those involving risk estimates for common disease.

We also are concerned with false and misleading marketing claims and incomplete or unbalanced promotional materials, those materials that might only reflect the benefits of what you might get from the genetic testing and not any of the down sides of it.

The ability for consumers to evaluate the marketing claims and make informed decisions about genetic testing is a concern, as well as the ability of the consumers to understand the test results once they get back to them, and the health care providers being inadequately trained or having inadequate knowledge to be able to help interpret those results once their patients bring in the direct-to-consumer genetic test results to them.

We also have limited data on psychosocial impacts on direct-to-consumer testing. We have concerns about protection for the research use of specimens

obtained during direct-to-consumer testing and the data derived from the specimens.

There might be unclear or inadequate privacy protections because of the way direct-to-consumer testing might be provided to a consumer. There are inequities to access, of course, because you have to pay for the test in order to get the test. There are insufficient safeguards to prevent non-consensual or third party testing. There are gaps in regulatory oversight, as we saw in the Oversight report, for genetic testing in general.

When we back over our old recommendations that we had made over the many reports that we have done, we found that there were eight recommendations from prior SACGHS reports that address some of the concerns that were raised. Of course, we found that there were some concerns that had no recommendations yet. Those are the ones that we will bring up for future consideration.

We had one recommendation on analytical validity, one on clinical validity, and one on clinical utility. Consumer and provider education had three recommendations. Companies that skirt regulations, one

recommendation, and false and misleading claims, one recommendation.

I am not going to read our recommendations again in detail because for some reason our committee likes to make very wordy, long recommendations. You should all have this memorized, and the new members better have it tattooed on their bodies somewhere.

For analytical validity, of course, same as the Oversight report, we know that there are gaps in how analytical validity and clinical validity data are generated and evaluated for genetic tests. We did recommend to HHS that they should ensure funding, which is a lovely recommendation that we always do. Ensure funding for the development and characterization of reference materials, methods, and samples. Methods to increase the analytical and clinical validity data, basically.

Continuing, for analytical validity again, funding for development of a mechanism to establish and support a laboratory-oriented consortium to provide a forum for sharing of information. The HHS agencies should continue to work with the public and private

sector to support, develop, and enhance public reference databases with this information in them.

Again for analytical validity, we have that HHS should provide the necessary support for professional organizations to develop and disseminate additional standards and guidelines for applying the genetic tests in clinical practice.

On to clinical validity. We have the recommendation, again from the Oversight report, that the committee is concerned with the gap in oversight related to clinical validity and the FDA should address that all laboratories should take advantage of its current experience in evaluating laboratory tests. This would probably require a significant commitment of resources.

Continuing with clinical validity, we have the recommendation that HHS convene a multi-stakeholder public and private sector workgroup to look at the criteria for risk stratification, process for applying use criteria, et cetera. Also, to expedite and facilitate the review process, the committee recommends the establishment of the much-beloved mandatory test registry that was a little controversial. Mainly the

mandatory part was controversial, not the test registry.

Then, for clinical utility, again we have that HHS should create and fund a sustainable public-private partnership to assess the clinical utility of genetic tests. Then it goes on with a long laundry list that covers two slides on what that public-private partnership should do. I will not read every single one of those points.

Again for clinical utility, to fill the gaps in knowledge of analytical validity, clinical validity, clinical utility, utilization, economic value, and population health impact, the federal, public, and private initiatives should develop and fund a research agenda to fill those gaps and disseminate those findings to the public via designated or publicly supported websites.

Then we get on to the education recommendations. Just like we talked about yesterday, the HHS should work with all relevant government agencies to increase training and education for all the key groups involved in genetics and genetic testing. That should be culturally competent, in many languages, et cetera.

The other one is to ensure that providers have appropriate education and training and are able to integrate genetics education into all areas of practice.

Continuing with our education recommendations, the HHS Secretary should provide financial support to assess the impact of genetics education and training on health outcomes and incorporate genetics and genomics into relevant initiatives of HHS, including the National Health Information Infrastructure, which I think that we talked about yesterday.

Patients and consumers should have information to be able to evaluate health plan benefits so that they can figure out reliable and trustworthy information. Have federal websites with accurate information available to them.

Then we have our lovely CLIA and FDA recommendations. We recommend that CLIA would look at the regulations and hopefully, within their statutory authority, expand their regulatory authority to encompass the full range of health-related tests. Also, the FDA should exercise its regulatory authority to its full extent.

We have the recommendation that addresses false and misleading claims. Appropriate federal agencies should strengthen their monitoring and enforcement against laboratories and companies that make false and misleading claims about laboratory tests, including direct-to-consumer tests. We must have been very forward-thinking at that point to make that recommendation because it fits right into our report now.

So, we get to the part where the taskforce identified the concerns that we could not find recommendations that we have in prior reports that would address those concerns. Some of those concerns that we might want to consider for future action are the concerns about unclear or insufficient privacy protections, limited data on psychosocial impact of direct-to-consumer genetic testing, potential exacerbation of health disparities, and inadequate protection for research use of specimens and data derived from the specimens.

I think that mainly came about because there would be certain entities that might not be covered under an IRB because they are not federally funded. What if they just decided that they didn't want to follow any of

the federal regulations for research.

The lack of standards for genetic variant terminology, selection and validation of variants used in assessing disease risk, and calculating aggregate risk from multiple variants, is another issue that the committee might want to take up.

Today what we would like to do is have you tell the taskforce, are the issues related to the use of direct-to-consumer genetic testing addressed in this paper adequately? Do our prior recommendations address these issues? Are there any of the remaining concerns, and maybe some new ones that you might identify, that might require additional action from the committee?

Finally, our next steps are to decide whether this paper should move forward to the Secretary of Health and Human Services. If we do decide to move forward, we will have to decide what the timeline will be for the edits and when we will transmit the paper, and determine what additional action the committee might want to take on some of the concerns that have not been adequately addressed by prior papers or recommendations.

Now we will open it up to complete agreement

from the committee and move on. Opening the floor now to anyone that has any questions or comments? Yes, Marc, of course.

Committee Discussion

DR. WILLIAMS: First of all, I think you did an excellent job. I think taking the recommendations that are relevant from previous statements that have been vetted is the way to go. I read through the statement. I really didn't have any concerns or issues. I think that even as it is, recognizing that there are some issues that may not have been adequately addressed, I think it is appropriate to move forward.

The only thing I would add to the laundry list of things that have not been adequately addressed by previous recommendations would be the issue of sample and data ownership. One of the other things that has come up with the direct-to-consumer testing is, if a company was sold to another company, what would be the rules around transfer of those specimens, ownership, that type of thing. That is another area where there don't appear to be explicit protections relating to the consumer and how that information could be used.

That would be the only thing I would add to that bulleted list of things that we might want to consider doing more.

MS. WALCOFF: This is a very big area. I just want to make sure I'm understanding the process. From this point we would go back and take a look at these and the prior recommendations and really scrub them to make them more relevant and updated? How does that work?

MS. AU: We didn't want to change any recommendations because most of the recommendations here fit within the general topic of what we are talking about for direct-to-consumer testing. The new recommendations that might need to be made then would take longer.

What we really want to do is move this quickly because, if we are making new recommendations, it generally takes a very long time. Even though it is not directly aimed at direct-to-consumer genetic testing, the scope of the recommendations fits the concern of direct-to-consumer genetic testing. Then if the committee decides that we need to hone in more, then those would be new recommendations that then we would decide to move forward to make.

MS. WALCOFF: I have a couple of thoughts on that. First, I think there is a lot of confusion between direct-to-consumer advertising and direct-to-consumer genetic testing and physician-ordered testing. I don't feel like taking the recommendations that apply to all things that we have done in the past really addresses the issue as well as we perhaps could. I think that this is something that people are paying very close attention to and are looking for more specific advice with respect to direct-to-consumer genetic testing.

Also, just generally, I think if we are going to provide advice to the Secretary my recommendation is to update some of these recommendations in a way that is more useful to the Secretary. Hopefully they will get more attention and actually be implemented. I think it is very difficult with something like "HHS should ensure funding for." They don't really know what to do with that.

I know it sounds great and it is important, but I think it is better if the Advisory Committee can really give advice that can actually be implemented. I know we would like to give rapid advice, but it doesn't help if

we get it there and then it just sits on the shelf because it is impossible or incredibly difficult to implement.

I would propose that we would go back through these and really direct this issue to direct-to-consumer genetic testing and really walk through these again to see how we might reformulate them. Maybe that is a strong word for trying to redo these. They were recommendations that were made before on a broader aspect of testing, but give the Secretary some more directed recommendations that can be more valuable more immediately.

DR. TEUTSCH: Are you suggesting that we go back and reassess all of these in terms of genetic testing and actually do the kind of reviews that led up to those recommendations? Is that what you are suggesting, or just that we rework the recommendations themselves?

MS. WALCOFF: I'm not trying to add so much more on. That is why I'm not sure exactly what the process is in terms of where we are at this point with this. My understanding is these are all from reports

previously that are broadly across the genetic testing landscape?

MS. AU: They are from different reports, not only Oversight but we have the Coverage and Reimbursement report.

MS. WALCOFF: So these have already been made.

MS. AU: Yes.

DR. TEUTSCH: Correct.

MS. WALCOFF: That is my point. I don't know to what extent they have been implemented or not, but if we are going to be making new recommendations or recommendations generally on a more specific area of direct-to-consumer testing, I don't know that it is that valuable to go back and just plug in the older recommendations.

It might be more valuable to take a little bit more time to get a short list of things that would be directly associated with where the concerns are and focus on direct-to-consumer advertising. Take from the concerns and the recommendations that were addressed before but make them a little more directed and specific.

DR. BILLINGS: I completely agree with Sheila.

First of all, Sylvia and the staff have done a masterful job of pulling this together.

MS. WALCOFF: Yes, it is a lot. It is a challenging area.

DR. BILLINGS: Pulling this together at all. For instance, on this whole issue of education, we identify DTC as potentially improving education literacy but also being misleading. Then we say we should fund better genetics education. It seems a little unrefined as a recommendation and also difficult fundamentally to implement. I do think we can edit it down and make the linkages to DTC a little more explicit.

MS. AU: I think this is an interesting topic in the news since Amway is getting into it now, according to what you forwarded me yesterday. We have our local Amway rep that will be doing direct-to-consumer genetic testing.

I think this was thought of as a vehicle to bring up recommendations that were general and that crossed a lot of areas to the new Secretary. Besides the summary of what we have done, this will be the first issue that is brought up to the Secretary.

I don't know what the taskforce or the committee thinks about going back and narrowing all the recommendations down because they aren't really specific to direct-to-consumer testing. If we recommend education, it crosses the board because we have a whole Education Taskforce that is doing that.

MS. WALCOFF: Right. I guess that is my question. Are we making recommendations in a report on direct-to-consumer genetic testing or just pointing out all the various recommendations we have made across the board generally to her.

MS. AU: I think what we are doing is we are describing the issue and then the recommendations. Here are our prior recommendations that are still in effect that would address the concerns of direct-to-consumer testing. That would fit.

DR. NUSSBAUM: Sylvia, first of all, I think you and the taskforce have done an extraordinarily comprehensive job. That is the applause.

Like Sheila and others, I believe that this really needs more of a focus on the DTC issues. First, people understand DTC. We have seen it arise I think

very significantly in the pharmaceutical industry when claims have not been always backed up by science. I think that should be the paramount focus.

If you do that, then under that theme we can bring some of the issues of consumer knowledge and education. You can bring in some of the themes of clinical validity and scientific themes. I think what this document does is covers too broad a landscape, so much that focus would be lost. If you do focus on DTC, the issues that came up yesterday on the integrity of how samples would be used and consent and all of those issues, are really very relevant.

I think there is another dimension that one could work along, and that is where Marc was going. These are very early-phase companies. They don't have a strong financial backing in many cases. What happens to samples and what happens to information when they don't succeed. I think those are some of the safeguards that need to be built.

Like my colleagues here, I think we can absolutely focus on DTC, the safeguards, the clinical validity and the claims that are made, and then build

around it, but right now we just paint such a landscape picture that I think it is less actionable than it could be.

DR. ROYAL: I would just say details, details, details. Great job, Sylvia. I think your group has really brought the issues together.

I do agree that in moving forward those points that you made that are future might be ideas for future recommendations. I think you could focus on some of those. The impact of health disparities, the psychosocial impact of the information, a lot of those have not been addressed. Rather than leave them as potential future recommendations or topics that we may want to work on in the future, I think focusing on some of those might be where we could bring something new to the discussion.

MS. AU: I just want to remind the committee that last time we presented this as the outline for what we were going to do as a short-term taskforce. If we move to redo recommendations, add new sections, it is going to expand the scope of what this project is going to be. If that is what the committee wants to do, then I

think we have to make some decisions based on staff and other resources.

I just want to remind the committee that this was not the outline that was addressed last time.

[Laughter.]

DR. NUSSBAUM: On the other hand, you are also getting a new set of eyes on this. An extraordinary body of work has been achieved here, but how do you make it more meaningful. That is what I think we are all trying to drive to.

DR. WILLIAMS: I'm seeing a blended view here.

One of the key points, in my view, is that the companies in many cases are trying to separate themselves out by saying, we are not doing genetic testing, we are doing education, or we are doing recreation, or we are doing something but we are self-defining this as not being genetic testing.

I think we can very rapidly say, you are doing genetic testing and in fact you are subject, or should be subject, to the same oversight that anybody else doing genetic testing is subject to. Therefore, the recommendations from the Oversight report I think are

extremely relevant to the direct-to-consumer things.

Now, I don't know that we necessarily have to fully recapitulate them, but I think it is important, given that we do have a new secretary, to say these things are very specific to them. That would be something that could be done in the short term.

In the medium term, I am resonating with some of the voices to say there really are some unique issues to direct-to-consumer, most of which have been outlined in your bullets, that probably do deserve some more study. The problem that I think we will encounter, as we did with Oversight, is just how much data is out there to actually be able to synthesize. I think in the long run it is going to come down to a lot of gut feeling about it.

Perhaps even a white paper that highlights the issues about what do we know, what do we not know, and what are the existing standards around research where maybe these are falling short, is worth some additional investment and time. It would certainly not, I wouldn't think, be worth the investment of doing a full report, but it is probably worth a little more effort.

That would be my recommendation, to go forward with the things that we know well that are relevant relating to the genetic testing aspects of it and the oversight of that. Then, make a more tailored document relating to some of the things that do appear to be more unique to direct-to-consumer testing.

DR. EVANS: I think maybe we can reconcile the old with the new by taking a page from a discussion we had yesterday. I will just put this out there.

I think that perhaps what we ought to do is draft, again, a very short document, a one- or two-page document, that says in a preamble something about how DTC is getting a lot of attention and we have some concerns.

We are including as an appendix work that the committee has already done which addresses a lot of these issues, but here are bullets of, say, three things that we feel need to be on your radar screen. Maybe four.

We could, I suspect, pick a few of the things around the table, some of which have already come up, that rise to that level. I would put out there two things. To me, clearly the most important issue in the whole DTC arena is reconciling claims with reality. We

address that in here, but I think it could rise to the level of here is a bullet on that first page.

I would expand just a little bit from what Marc said. I don't think the issue is so much genetic testing as it is medical testing. If people want to get their earwax type from 23andMe, be my guest. When they are doing, as they are, the Ashkenazi founder mutations with high penetrance for breast and ovarian cancer and then claiming that this isn't medical testing, that is clearly in Congress. We should pick a very limited number of such things, put it in a front piece, and then I think we could as an appendix say, here is stuff we have done as a committee that addressed this.

MS. AU: So, you are suggesting the short letter, the previous work, and then taking the paper and expanding it to --

DR. EVANS: No, I'm saying a short front piece that says here are the three bullets that rise to the level and attached is also work the committee has done and now extracted from prior work that addresses this general topic.

MS. AU: That would be the recommendation part.

The front part of the paper is actually describing the whole area.

DR. EVANS: I actually would say have it all preceded by a one-page document with a very brief preamble that says here are some issues about DTC that rise to the level. Here is also a report that we have done that gives you background and extracts what we have done in the past. Does that make sense?

All I'm advocating is over-layering the whole thing with an executive summary that has a few bullets that we can decide around the table, probably in fairly short order, rise to the level of look at this. I suspect most people don't read after the first page if they see the executive summary.

MS. WALCOFF: I would be interested, too, to see what sort of specific recommendations those would be.

If the biggest issue you see is the definition of testing --

DR. EVANS: And what the claims are.

MS. WALCOFF: -- is the next step all of these tests should be run through CLIA-certified labs? I think that is the next thing. I don't know what we would say

about that because they should be defining them in some way. Here is what HHS can do.

DR. EVANS: That particular example gets at two separate things. CLIA certification corresponds more to issues like analytical validity whereas reconciling claims with reality gets to more the oversight of the FTC, FDA, et cetera.

MS. WALCOFF: Right. My point is that we be more specific like that. We have all the agencies here that can give this input on what they can do, what they have been doing, what they could do, who they could partner with. Is CDC doing some of this under EGAPP. Is FDA doing some of this already. We could really assist them in getting attention for those efforts, but also, as we have talked about, defining them towards direct-to-consumer advertising.

We do have a new Secretary and new staff. There is a lot of publicity about these types of tests, where there is less publicity about when you are having a baby and you go in and have prenatal testing. I think it is important to have the report part to help define that for staff and others that want to go back in and delve,

and to highlight the work that the committee has done before.

It is a vast amount of work. Sometimes, as I said, that gets lost in the transition of new people into new offices. I think that does help in preventing to reinvent the wheel and the work.

We have identified issues that you have identified that are important. Perhaps we can, in the shorter term, hone in on several of those and say we recommend, Madam Secretary, that you have some focus on this, you direct your agencies to focus on this sooner rather than later. These are other things that could apply generally.

MS. AU: I think Alberto, of course, wants to jump in.

DR. GUTIERREZ: I actually think that defining these as medical devices would be very helpful. That puts the onus then on the agencies to deal with them.

It also may be good to perhaps let the Secretary know that there are issues as to what laboratory-developed tests are or are not and what the different agencies are doing with them that need to be

dealt with in one way or the other. It is public now that there is, at least within DFDA, a petition for us to deal with laboratory-developed tests as regular tests, so that is something that the Secretary can look into and deal with as part of the issues that need to be dealt with.

MR. BOWEN: One particularly strong point of the report that I think would be good not to lose in terms of emphasis, and this leads back to education, is that it does a good job of delineating personal utility and clinical utility. We have found from our research that those two things are often confused by the public and policymakers. Clinical utility is not in the eye of the beholder. I just thought that was a strong point in terms of the education point.

DR. BILLINGS: I want to voice my support for something along the lines of what Jim said. I think Sheila and Jim were more or less arguing for the same thing.

I'm not aware that we have ever decided that direct-to-consumer testing was a medical device, so I have lots of concerns about that. I just want to be

clear on that, at least as a member.

I wanted to just make two specific points. One of the things that distinguishes direct-to-consumer from other kinds of medical testing or genetic testing is the role of the expert in ordering the test. That is not addressed in this document at all as far as I can tell. Maybe I missed it, but it is certainly a key distinguishing characteristic.

The direct-to-consumer folks say that this of course adds to access and empowerment and all those other things, but we might actually recommend or say something about that difference. It is an interesting issue for study, frankly, whether there is a benefit and the harms of not having the expert deeply inculcated in the actual making of the test menu.

The second point that I wanted to make was around the issue of privacy and so-called protections derived by direct-to-consumer access to testing. I think it would be quite valuable to have a box or some sort of opinion as to whether in fact there are any real protections derived by ordering a test through a direct-to-consumer pathway that are different.

As I remember, and I studied this a few years ago, if that information is subpoenaed, they have to produce it. Now, if it is anonymized in some way where it is impossible to get to the information, okay. Basically, they are governed by the same laws as every other kind of testing. That was my impression, but I think we ought to say something definitive about it in the report.

DR. TEUTSCH: Let's make sure that we are all on the same page. These tests, to the extent that they have some clinical utility, are medical tests. Is there agreement about that?

DR. EVANS: A subset are, yes.

DR. TEUTSCH: The ones that have clinical utility.

DR. EVANS: I don't even think you need to say that because there are many of these tests that have clear medical implications but no demonstrated clinical utility.

DR. TEUTSCH: Right. Medical implications.

DR. GUTIERREZ: I would suggest that you actually say "that make medical claims."

DR. EVANS: Yes, yes.

DR. GUTIERREZ: That is what you want to say.

DR. FERREIRA-GONZALEZ: They can claim that they don't make clinical claims.

DR. EVANS: They can claim they don't make claims, but they are making claims.

DR. FERREIRA-GONZALEZ: I understand.

DR. TEUTSCH: When we talk about medical, that is about risk reduction. Health claims, basically.

DR. EVANS: There is no way you can reconcile the offering of high-penetrance LRRK2, BRCA, or mutational testing with the statement at the bottom of every page which says this isn't medical advice, it is not meant to diagnose, to treat, to recommend. They are just incompatible.

DR. TEUTSCH: Even risk prediction and other kinds of things that have behavioral implications for health, they would be included, correct?

DR. EVANS: Although, again, they also do testing that isn't medical.

DR. TEUTSCH: I understand. If you are doing ancestry, it is something different. I want to make sure

that everybody in this room is on the same page with this, or at least that there is an overwhelming consensus, because that is actually a powerful statement that we have not made before. That then gets us into all of these other things. They need to have the same type of oversight, and then we can get into the kinds of things that relate to unique characteristics of these things that I'm beginning to hear. Is that where people are?

MS. AU: How about the testing for vitamin use?

DR. TEUTSCH: You are making a health claim. It would be.

MS. WALCOFF: Do we have access to the NIH Counsel's Office or something like that? I think it is important, if we are starting to create new words or new definitions, what does that mean in terms of the existing statutory and regulatory framework. What are we trying to get at with that.

I don't know if we are trying to recommend that we parse these companies and say here is what we are going to say you should define as a health claim versus this. Are we going to be that specific? That is the

only thing that is coming to my mind as an example.

Should these tests be performed in a CLIA-certified lab?

What are we trying to get at with creating a new terminology?

MS. AU: It is not creating new terminology. We are trying to limit that what we are addressing are the tests that make medical claims.

DR. TEUTSCH: Yes. I don't think we are trying to create new terminology.

DR. EVANS: What I keep coming back to is, what we want to have rise to a very prominent position in our discussion, recommendations, knowledge in the Secretary's mind, is the medical claims being made and that there needs to be a reconciling between the claims made by the company and what they are actually doing. That is all. I don't think we are invoking new terminology.

DR. TEUTSCH: I think what we are saying is that the standards for the DTC, when you are making a health kind of claim or indicating some value in the health sphere, need to be at least as high as they are for when they are doing in the clinical arena. In fact, the reason things are doing in the clinical arena is you

have a learned intermediary. That is gone. That is what Paul was getting at. That learned intermediary is gone.

They are, in some sense, less capable of making a judgment about the appropriateness of the test.

DR. BILLINGS: Differently enabled, I would say.

DR. TEUTSCH: Differently enabled. We need to make sure that the information available to them is at least as good as what you would have in a clinical arena.

That is what I'm hearing here.

MS. AU: That doesn't mean that there aren't concerns when you do ancestry testing or match-making. They can still hold your genetic information and sell it or whatever. It is just that we are trying to draw a box around what we want to make recommendations about and not about the ancestry testing or that more recreational match-making and things like that. They do have concerns.

I think Paul had something to say.

DR. WISE: Thank you. I think what Jim is trying to have us do with the process of coming up with the one-page, three-bullet memo is to address the

questions that people really have about DTC that are not directly addressed in this report. Crystallize the things that really are on people's minds. This issue is one of them. Is this a medical test or not.

My concern is that we could all sit around the room here and generally agree, but it is a fairly important decision and things will flow from that decision that we make that will have consequences that will be fairly significant.

My concern is that it is worth taking a step back, in my view, and having the working group, the taskforce, look at this in detail. Look at the legal implications. Look at the implications that people have addressed in other documents.

While we may agree sitting around the table, it is such an important decision that it is worth having the working group look at it in great detail, look at the implications, and then bring it to the committee in some format with better documentation so that we can make an informed decision about the implications of these kinds of central questions.

I'm concerned that just sitting around the

table and talking will not get at some of these concerns.

DR. EVANS: I understand what you are saying. What I'm trying to advocate, though, is if there are certain subjects that we all do agree on, in a way I'm not sure whether all of the implications, mapping those out, and spending three months doing that, is worthwhile.

I think that there are certain aspects to DTC that rise to the level of obviousness, such as BRCA testing as a medical test. We don't need to spend three months figuring out the implications. I'm just putting this out there. It might be worth highlighting those things that we all agree rise to importance without spending months and months more.

DR. WISE: Basically, by doing that, you will be articulating a little more clearly what the question is, but you are not going to be providing much guidance on how to deal with it. If we are talking about what is included in your box, how do we identify which are clearly medical tests and which may be medical tests and which are recreational.

My concern is that we do this right. The implications here not only speak to the DTC community but

also to the utility and legitimacy of this group. We have a really great report. It took quite a bit of time and thinking to get this through.

My concern is that by sitting around the table in a short amount of time we are going to completely overshadow anything contained in this report that was considered over a few months with a decision that we are taking without a more formal and more deliberate process for making decisions that are going to ripple through the whole conversation later on.

I'm not a big fan of waiting three months. I'm not a big fan of waiting for anything, in general. I just think that this is an important decision that is going to have implications, as we heard, for a variety of agencies. We need to do this right. Members of the committee that might not be directly involved day-to-day with DTC kinds of issues need to have background information that has been vetted and articulated well so that we can make good decisions about these kinds of issues.

DR. EVANS: What I would say is I want to do it right, too. The decision, then, around the table I would

phrase as, are there issues that we all can agree on that don't need months more of deliberation or are there not.

If there are not and if we are happy with this report, then so be it, we go ahead with this report, or perhaps we delay it and do some more things.

Again, I just want to throw out for the consideration of the committee, are there some things that rise to the level where we might want to say to the Secretary we have concerns about XYZ. I would throw out there that emphasizing that there is a need to reconcile claims with reality does rise to that level, but I'm just one member of the committee. I think we should discuss that.

DR. WISE: We have to say more than just that these are concerns. The Secretary already knows what the concerns are.

DR. EVANS: No, no, she doesn't. She does not.

DR. TEUTSCH: What I'm taking away from this conversation is these tests have not necessarily been considered medical tests. It is a significant change for this committee to say that they are medical tests when they deal with those medical issues and they need to have

the same kind of oversight that you would for other types of medical information.

Now, that is the core. If we can get there today and get some agreement, we can get it back and put this in a page or two. We can then highlight some of the other things that we have done that need to be brought to bear on this. Highlight some of the other issues, but keep it fairly focused.

This would be a substantial change and contribution, and doesn't really require a lot more research, if you will, for us to make the statement that they should be considered in that context.

MS. AU: This would narrow the medical tests. We would explain what we are talking about.

DR. TEUTSCH: This is a set of tests that are being offered directly to consumers. Those that Jim just described, that is what we are talking about here.

DR. GUTIERREZ: Perhaps a little history would help here. About two years ago, when the genomic scans began to come onto the market -- and this is public. The companies actually talked about this -- they came in and spoke with the FDA because the FDA wanted to know what

kind of claims they were making. Invariably, most of them were telling us that the claims they were making were not medical claims.

Things have changed since then. I do want to note that the claims seem to have changed and the types of tests have changed, but it is on the record that they claimed that these are not medical tests.

DR. BILLINGS: Do we have consensus, then, about what a medical test is?

DR. EVANS: I don't think we need consensus about the general definition. What we need consensus on is, are they performing some medical tests. I think the answer to that is obviously yes. They are doing BRCA testing and LRRK2 testing, period.

DR. BILLINGS: I can see us saying that we want one standard for medical testing, but I think we also then need to be clear about, is there some other kind of testing besides medical testing and what is that.

DR. EVANS: Yes. If you want, we could give examples. We could say ancestry testing is not medical testing. We are not endeavoring to define the entire landscape of medical testing, but it is like Justice

Potter Stewart said, I know it when I see it. BRCA and LRRK2 is medical testing.

MS. AU: I have Marc and Phyllis, and we have one minute.

DR. WILLIAMS: I think we need to move forward. We have discussed the medical test issue in the context of the Oversight report. I don't think we need any additional work on that. I feel comfortable moving forward to say we need to have one standard and these companies are performing within their suite of tests some tests that are clearly medical.

DR. FROSST: I want to address the point that I think that there might be some confusion on. That is, when we talk about DTC, we talk about a very, very big range of genetic tests offered directly to the consumer without a health provider, right? That is an enormous arena.

What I think some of us are more specifically talking about are the types of genome scans that are being done by 23andMe, Navi, et cetera. I think these are two overlapping but not necessarily different arenas.

There may be some discomfort in making a broad statement

like "You know it when you see it" about what is medical.

DR. EVANS: The point is that these whole-genome scans, I agree, contain many different things. Some of them are clearly medical.

DR. FROSST: I totally, completely, 100 percent agree with you. If we are talking about specifically that realm of tests, then we need to specifically say in terms of whole-genome scans that contain things which are medical that this is what we are talking about, rather than Bob testing for six things in his garage and recommending vitamins.

DR. EVANS: It is like Steve said. It is the subset of tests within these suites that rise to a level by which one would call them medical testing.

DR. FROSST: Agreed.

DR. LICINIO: I just have one comment. I would add "medical and behavioral." They could say someone has a gene for bipolar and that is not medical, it is behavioral. So I would put "medical and behavioral."

DR. TEUTSCH: Health-related.

MS. WALCOFF: I want to get to the next step of that. If we are making this broad statement, what does

that mean. I think Paul was getting to that a little bit more. Are we really saying there should be a single standard or that these tests should be held to the standard of? I don't think it is as helpful to basically just call them out and say, everyone knows you are making a medical claim and you are saying you are not. I think we should say something that is actionable by FDA or CMS.

DR. FERREIRA-GONZALEZ: If we say these are for medical purposes, we have the whole report on oversight.

DR. TEUTSCH: Let me just get a straw poll from all of the folks here. I think we have gotten to a core set of issues that we have just articulated. These are health-related tests. They should adhere to the same standards as they would if they were being used in a clinical setting. We can work on a relatively short document of a page or two that is going to highlight that and refer back to what we mean when we say there is oversight. We have these other reports that will be in the attachments.

I think it is important because a humongous amount of work went into getting this to this point based on what we thought the last time. I think we have come a

long way in this discussion. It has been a very constructive discussion, but I would like to get some agreement from this committee that you are comfortable.

If we go back and bring something to this group in October, is there a general consensus? Can I just take a straw poll? How many conceptually are on the same page with that?

DR. WILLIAMS: I'm sorry?

DR. TEUTSCH: With a two-page report that basically says that when they are health-related tests, they contain medical and relevant information, that they should then have the same type of oversight as those that would be used in a medical environment.

DR. WILLIAMS: We wouldn't look at that until October?

DR. TEUTSCH: You will get a chance to see it in October.

DR. WILLIAMS: But it will go out before then?

DR. TEUTSCH: No, no. We will bring it back for approval by this committee. We will spend the next three or four months getting it in shape.

What I don't want is to bring that back and

have people say, I don't agree that these are medical tests. I would like to make sure we are on the same page.

MS. AU: That would give me a chance to schedule that 4:00 a.m. conference call.

MS. WALCOFF: So we are going to say if they make health claims, they should be held to the same standard as other genetic tests that make health claims?

DR. TEUTSCH: Other tests.

MS. WALCOFF: Other tests that make health claims.

DR. LICINIO: They may not be making those claims, but if they test for things that are medically relevant --

DR. TEUTSCH: Providing health information.

MS. AU: We will have the taskforce come up with the definition.

DR. TEUTSCH: Yes, we will get to the wordsmithing, but that is the point.

MS. WALCOFF: It sounds like it is a combination of what you raised earlier with basically created a focused executive summary.

DR. TEUTSCH: Exactly.

DR. EVANS: We have to address the reality, not just their clients.

MS. WALCOFF: I will agree to making a focused executive summary. I'm happy to help, too, since I was a latecomer and adding more work. I'm not the only one.

DR. TEUTSCH: No, no. I think this has been an excellent discussion.

MS. WALCOFF: Also, it is unfortunate Barry is not here this morning for this because it would be interesting to get some feedback from him as well. Maybe we can circle back with him.

MS. AU: Barry?

MS. WALCOFF: Barry Straube from CMS. They are obviously heavily looking at this area as well.

DR. TEUTSCH: We dealt with a lot of those issues in the Oversight report.

Is there anybody who has a problem with that general approach? You will see it again. You will have a chance to discuss it.

DR. WILLIAMS: Steve, I don't have a problem. I agree with the approach. Does it need to also focus on

privacy and security in addition to that? Will just calling these clinical medical tests give us enough framework to talk about those issues?

Yesterday's discussion by our group was almost exclusively focused on that. When someone came forward with a very different presentation, we all leaped to those very great concerns.

MS. AU: There are a lot of other issues that depend on how the testing is done and that have nothing to do with whether they are health-related or not.

DR. TEUTSCH: We will need to get some of this back to a committee to work on because we have heard a bunch of other issues. I think what we have heard is that the oversight protections and those kinds of things should be the same as in the medical arena.

MS. AU: HIPAA might not work.

DR. TEUTSCH: No, but that is what we need, new policies.

MS. AU: Do you want to expand that portion? Are we expanding the report at all with some of the other concerns?

DR. NUSSBAUM: I'm just trying to figure out

whether there is one overarching theme, that these are medical tests, or whether there are two or three subthemes that people are concerned about. It doesn't change, I don't think, the significant work that has been done that is the key statement. I just didn't know if we wanted to include that.

DR. TEUTSCH: I think it is implicit. We will need to work those things through because basically we are saying they are medical, they are not just recreational or curiosity.

DR. FERREIRA-GONZALEZ: I think we might have to defer these issues. If we say that these tests are medical tests, HIPAA comes into play.

DR. TEUTSCH: Exactly. Those are the protections I think you are referring to.

DR. FERREIRA-GONZALEZ: That is what I'm thinking. This idea of selling the data, there is at least a subset of information on that.

DR. NUSSBAUM: Clinical validity, HIPAA, everything else just naturally follows.

DR. TEUTSCH: You are probably right. We need to be able to indicate what are the things that follow

from that recommendation.

MS. WALCOFF: Right. That is well articulated.

DR. FOMOUS: To go back to Sylvia's question, are you wanting us to add, in essence, new recommendations? The paper does discuss the problem with HIPAA. These companies are not a covered entity under HIPAA, so HIPAA won't apply to them. Are you asking or suggesting that we should also include for October new draft recommendations that these entities should be covered under HIPAA? That is just an example.

So the question is, between now and October are you also asking the taskforce to come up with new recommendations in addition to recycling some of the old, or do you just want to go with the paper that we have with the preface or the executive summary in front of it addressing the medical test issue?

DR. TEUTSCH: I want to make sure we have no dissent on the substance on this. Then I think we have to take it back and really look to make sure that the appendices are germane. We can do that as staff work.

We have to move on. Are there substantive problems with the general approach or the general

statements that we have made?

DR. FOMOUS: I just want to clarify the scope.

We are not going to do new stuff.

MS. AU: No new recommendations.

DR. FOMOUS: No new recommendations. We are just going to fix what we have.

DR. TEUTSCH: This is fundamentally a recommendation about this is a medical test.

DR. EVANS: In the deliberations of the taskforce over the next few months, if it came up that we should have a bullet about privacy, we could come back to the committee with that, too, right? So it is not that we would be off limits from considering any of those things where we had concerns that we thought might not have been adequately addressed by prior recommendations.

DR. WISE: The committee is asking you to go back and make a recommendation around this medical testing issue.

DR. FOMOUS: Right. I got that.

DR. WISE: That is not a recommendation here. Therefore, it means deliberation in the group, more work, and bringing it back in three months for consideration

and approval by the committee.

DR. TEUTSCH: This has been great, and very helpful. Actually, the committee has done a huge amount of work in a very short period of time that I think is going to move this all forward. I think we will be able to build on and use what you have already done. We will bring it back here for lively discussion the next time.

MR. BOWEN: Steve, could I make a quick announcement related to DTC? Several folks here were involved in a workshop with CDC and NIH in December on the scientific foundations of personal genomics. Those recommendations will be published in Genetics and Medicine in September.

Also, CDC looked at DTC perceptions and use among consumers and physicians in the Doc Styles and Health Styles survey in 2008. Those results will be published in Genetics and Medicine in August. I just want folks to know about that.

DR. TEUTSCH: Thanks, Scott. Now let's move to our next agenda topic, which is about clinical utility and comparative effectiveness, which is one of our priority topics.

The purpose of today's session is to get us all to a common foundation of knowledge and understanding. The speakers are going to help us understand what is going on in this actually very rapidly evolving landscape, some of the federal developments, and future directions for comparative effectiveness. They will be highlighting some of the issues regarding genomics and where it fits in.

There are lots of things going on. In particular, the American Recovery and Reinvestment Act, ARRA, allocated \$1 billion for comparative effectiveness research on treatments and strategies. HHS and the NIH received \$400 million of those funds for research and AHRQ received \$300 million, so there are significant resources going into this.

ARRA has also allowed the Secretary to contract with the IOM to produce a report on priorities. We will hear a little bit about those later today. Actually, we will hear from Harold Sox later about that. That report should be out at the end of this month. There are also funds to create a federal coordinating council on comparative effectiveness research.

So, there is a lot going on. What we will start with is where we are, some of the definitions, and things like that. Then we will hear from some of the people who are shaping this environment.

Gurvaneet Randhawa, who all of us know and love, from the Agency for Healthcare Research and Quality has been deeply involved with the issues, particularly as they relate to genomics, for a long time. He is going to talk to us about some of the actually rather confusing terminology, for those who are not immersed in all of this, on clinical effectiveness, clinical utility, comparative effectiveness.

He will talk about some of the work that is going on at AHRQ, which has really played the lead role to this point in developing the comparative effectiveness work at the federal level.

Gurvaneet, I know we have eaten into your time, but you are a very efficient man. We look forward to hearing what you have to say.

CLINICAL UTILITY AND COMPARATIVE EFFECTIVENESS

Clinical Effectiveness, Clinical Utility, Comparative

Effectiveness: An Evolving Landscape

Gurvaneet Randhawa, M.D., M.P.H.

[PowerPoint presentation.]

DR. RANDHAWA: My charge from our chair is to go over clinical effectiveness, clinical utility, and comparative effectiveness and where things are moving. It is a fairly large set of issues and I won't be able to go into them in any depth, but I hope it will provide you with a flavor, highlight some things, and hopefully set things up for Dr. Sox to take on from there.

So, effectiveness. Many good things come from Yogi Berra. I don't know if he said this or not, but I did find it on the Web. This is the challenge with effectiveness.

The other thing that we had touched upon briefly yesterday was what is translational research. There are many steps involved in moving from a brilliant idea that has been shown to work at the bench to actually using it in clinical practice. In my perspective, there are three major areas: moving from the preclinical science to clinical efficacy, moving from efficacy to effectiveness, and then probably the hardest one, moving from effectiveness to implementing programs and using it

in practice.

So, what is the difference between efficacy and effectiveness. Simply, it is the fact that whenever we perform tests or offer therapies in the average clinical practice, you don't see the same benefits and harms that you would be expecting from efficacy studies. The big question is why. As you can imagine, it is not just one factor why. There are certainly many patient factors that can influence effectiveness, and the foremost is biology. I know some folks equate genetic variation with biology, which I think is a part of it but perhaps only a major part for most things.

So, the person's age. If the studies have been done in middle-aged persons with the same results and the same benefits, will they be seen in older adults, will they be seen in children. The sex of the person.

The comorbidities. If you have liver cirrhosis, your liver is not functioning, or if you have kidney failure, how does that change the effectiveness of practice compared to studies that were done in generally healthy people. The severity of the disease has an impact, and of course genetic variations.

Apart from the biology, there are many other patient factors: adherence to the drugs or other therapies, the costs from the patient's perspective, the preferences to what therapy he or she would want, and of course, although this is not really the patient's preference, but drug-drug interactions that do occur that are not intended or studied in the efficacy trials.

I will highlight natural history, which one can argue is part of the biology, but this is a very important issue in terms of do we actually know the natural history of the disease. This is often where some of the recommendations or some of the controversies arise. How well do we know that carcinomas will progress to local cancer or progress to metastatic cancer and cause death. Some of the controversies about prostate cancer screening are a good example of that.

There is also the related issue of surrogate versus health outcomes. What is really being studied as an outcome in the efficacy trials. More often than not, it is surrogate outcomes. When we are studying surrogate outcomes, we have to have a very good indication that there is a good link between the surrogate outcome to the

health outcome.

I can give you some examples from the U.S. Preventive Services Taskforce where lowering blood pressure in patients with high blood pressure, or lowering cholesterol in patients with high cholesterol, were surrogate outcomes that the taskforce felt comfortable will predict health outcomes. Lowering hepatitis C virus titers was not enough evidence for the taskforce to say this will lead to reduced cirrhosis and improved health outcomes.

Apart from the patient perspective, there are issues around the provider, the skills and training of the provider, their experience. This is particularly true for implanting devices during surgical procedures. How many have you done, what kinds of patients have you done them in.

Of course, there are provider preferences, too: what kinds of devices will you be implanting, how much time does a provider have to deliver an intervention, what is the coverage and reimbursement.

Then there are issues about the hospital or maybe the health system in general: what kind of a

hospital it is, how many patients has it seen, what kinds of facilities are available. I will give you an example of Warfarin to highlight some of these issues.

In Warfarin, we know that it is an effective drug. It reduces thromboembolic events in patients who have a risk for thromboembolism. It could be somebody who has had deep inner thrombosis. It could be someone who has had or is having an issue of fibrillation and has a heart valve transplant and they are at high risk for a thromboembolic event. It is one of the most commonly prescribed. From the data I have seen, it is among the top 10 medications in the U.S.

It also has a very narrow therapeutic index. In this case, the effectiveness of the drug is measured by looking at INR, International Normalized Ratio, which tells you the amount of anticoagulation in a person at that point. If the INR level is too high, there is a risk of bleeding events that can lead to stroke and lead to GI bleeding. If it is too low, you are not really reducing the thromboembolic events in the future.

The challenges are, how well do we monitor a patient's INR, often there are drug-drug interactions or

diet-drug interactions that can modify the effectiveness, and adherence.

There have certainly been trials in pharmacogenetics, but I will give you another example of personalized medicine, which is can the patient do their own INR monitoring. There have been studies that show that if you do weekly monitoring of the INR, about 85 percent of the patients will be in their target INR range, which is usually around 1.5 to 3.0, depending upon the condition. If you do only monthly monitoring, it is more around the 50 percent range.

The obvious question is, can the patient monitor their own INR at home. There was a meta-analysis done in 2006 that looked at 14 randomized control trials. Two of them were in the U.S., one in Canada, and the rest were in Europe. They had a variety of designs, all the way from those who just monitored their INR at home and then communicated those results to the provider, to those who also had a dosing algorithm to adjust your own dose based on what your INR results are.

Here is also an interesting example of surrogate outcomes and health outcomes. What was found

in these studies is, for the people who were self-monitoring their INR, there is an increase in the proportion of people who have INR in the target range.

Now, the studies are reporting this differently, so there was no one pooled estimate after, but all 11 of those studies had trends in the same direction. Six of them had statistically significant results. These were small studies. Some had as few as 50 patients. Most were in the 100- to 200-patient range, which I think is an important point because the recent coag trial had patients in the same range and did not show statistically significant results for surrogate outcome.

More importantly, this meta-analysis showed that there is a decrease in thromboembolic events in these patients, a decrease in major hemorrhage, and a decrease in mortality, and fairly impressive decreases.

AHRQ had commissioned a report three years ago that came up with criteria that could be used when a systematic reviewer is looking at the published studies to see if a study qualifies as an effectiveness trial or an efficacy trial. The first one is patient population.

Is the patient population in the primary care clinic setting -- that would be an effectiveness study -- as opposed to a tertiary hospital with a referral population.

The second is the stringency of the eligibility criteria, the inclusion and exclusion criteria. Most of the efficacy trials have fairly stringent criteria which make it difficult to generalize the results to the average population.

Health outcomes. Again because of the time span of the efficacy trials and often because of sample size, most of them do not have data on health outcomes. They usually focus on the surrogate outcomes, whereas effectiveness trials would be focusing on the health outcomes.

The other aspect is the length of the study. Again, it takes time to analyze for long-term events, and the effectiveness trials are designed to do that.

Another criteria is, did the trial actually assess all the adverse events systematically. Another one is sample size. Was there enough of a patient population to actually identify those outcomes. Finally,

analysis.

There was a different slide set that I had created. I think this is the older one. That is okay; I will ad lib.

I don't need to go into this in detail. What I wanted to do was move on from effectiveness to utility. There is some confusion in the field when we say clinical utility. What I wanted to get across here was that there is a term called health utility used often in the health services field that looks at a patient's preference for a health state. One way of measuring it is if you are in perfect health your utility is one, given by the patient.

If you are dead, obviously it would be zero, and there are numbers in between. There are different ways of assessing utility.

What I wanted to get at was that the utility itself is an outcome measure. It can be used to compare different interventions or it can be used to derive quality-adjusted life-years and disability-adjusted life-years, which are then used for cost effectiveness studies to compare the outcomes of different therapies or different treatment choices.

Where I think there is a bit of a confusion in the field is when we talk about clinical utility, where it doesn't seem to be an outcome, it seems to be more of a decision. I was looking at the EGAPP wording. Of course, a plug for Genetics and Medicine; the January issue had several papers from EGAPP. One of the papers was on methods. EGAPP was looking at effectiveness and net benefit in their definition of clinical utility, although the working groups had also considered efficacy sometimes.

The examples of clinical utility that were listed by EGAPP in the table included health outcomes, information useful for clinical decision-making, and improved adherence.

Like I said, the clinical utility is not the same concept as the health utility. It is more of a decision as opposed to an outcome measure to compare different interventions.

One point that I had wanted to make in the other slide set was that there are different factors involved in decision-making. The evidence, whether we get it from efficacy trials or effectiveness trials, and

the benefits and harms are only one part of it. Another part is the added value of incremental benefits. So, if there is something new, does it provide new benefits and harms compared to something old.

Then, depending upon the decision-making context, cost effectiveness could be part of the discussion, if you are thinking about population-level decisions, individual decisions at the point of care, patient preferences, provider preferences, convenience costs, the whole shared decision-making process.

These are several other issues that come into play. It is not just simply one-on-one looking at the outcome and therefore a decision is made.

I have discussed effectiveness, so I will move on to comparative effectiveness. The issue in comparative effectiveness is, what is a comparator. What are we comparing. One is a fairly long list of clinical interventions. It could be different tests. When I say tests, it is not just lab tests or imaging tests. It could be screening protocols. It could be checklists. I'm using the term fairly broadly here. There are devices, drugs, dietary supplements, biologics, surgical

procedures, counseling, and behavioral interventions, and you can go on.

So there are many different types of clinical interventions. Sometimes we are comparing one versus the other or within the same class of interventions which ones actually work better.

Some folks are defining comparative effectiveness to include health care programs and delivery systems, so one can make it broader. The only challenge is, the more broad you make the definition and the study design, the harder it is to tease out what factors are actually leading to improved outcomes.

The other part about comparative effectiveness is, what are the methods, how do we get at the information. There will be some issues about the study design. I'm sure you will hear about that later from one of the speakers. We have a fairly robust tool kit, if you can say that, for studying outcomes. We certainly need to do some tweaking. So, for doing randomized control trials, having more head-to-head trials looking at effectiveness would be needed. We already have established that this is a superior methodology.

Observational studies, modeling, systematic reviews, meta-analyses, and of course we need some work on analytic techniques that minimize bias and confounding, which reduce internal validity of the results.

One point that I wanted to get across is, there is some confusion that any evidence-based medicine principle, or I prefer the term evidence-based decision-making, equals a randomized control trial and one is not below the other. That isn't quite correct. The Preventive Services Taskforce and certainly the EGAPP Working Group have the principles of looking at the magnitude of net benefit -- so, how much do the benefits outweigh the harms -- and the certainty of that. How well do we actually know that that will occur in practice.

You can get that data from observational studies, too, but it is uncommon. The Preventive Services Taskforce has made recommendations on cervical cancer screening and phenylketonuria screening, and there are no randomized control trials on these.

There was recently an EPC report -- EPC is an

AHRQ program, Evidence-Based Practice Center -- which looked at different treatments for obesity. They based their conclusions that surgery is very effective for morbidly obese people, people with a BMI greater than 40, on a very well done observational study in Sweden. Surgical methods led to reductions of weight in excess of 44 pounds, which is far superior to any medical intervention, and there was no randomized control trial data.

I think the point is, the magnitude of benefit was so much that it is very difficult to explain that by confounding and bias. Those kinds of things are not seen too often in our experience.

I will briefly go over what AHRQ has been doing in this area. There is comparative effectiveness research at AHRQ. We have had a program center since 2005, because Congress had authorized in Section 10.30 of the MMA Act that AHRQ should do comparative effectiveness research. The goal of this program is to provide the patients, the clinicians, and the policymakers with reliable, evidence-based health care information.

The Effective Health Care Program looks the

effectiveness and efficiency of health care for the Medicare, Medicaid, and SCHIP programs, with the focus on what is known now and building on the previous experience of the gaps in the evidence and where AHRQ can fill those gaps. The focus is on clinical effectiveness.

The conceptual framework of how the program is organized is, there is stakeholder input in all different phases of the conceptual framework. The first step is doing horizon scanning, trying to figure out what the evidence needs are that need to be met and filled. Once we get that, there is a website for people to put in research questions. We talk to our stakeholders and get that information.

Then the decision is made at AHRQ on what is the next step. Is there enough evidence to merit doing an evidence synthesis or a systematic review, or do we need to fund a study to create the evidence or do evidence generation. Once that research is done, the next step is disseminating and translating that into practice. There are also research training and career development as part of our programmatic activities.

So, what are some of the outputs of the

program. A couple of years ago, we released a study that compared effectiveness of different treatments to prevent fractures in people who have low bone density or osteoporosis. There is another example of an executive summary on comparative effectiveness and safety of oral diabetes medications.

These are executive summaries of what our EPC program creates, which we call CERs, Comparative Effectiveness Reviews. These tend to be fairly technical. Then we go to the next step of trying to create some clinically useful products. There is a clinician guide and a consumer guide that tries to make this information available in a concise, actionable form where both the certainty as well as the uncertainty of the findings are communicated.

I won't go there because I think Dr. Sox will follow up on this. There was another point that I had in the other slide set. Where we stand right now with genomics is, it is fairly easy and relatively inexpensive to get genetic information. The volume of information that you are going to get will be enormous. What we know is there is very little data on either the outcomes or

the added value of these tests to our ongoing interventions. We have already heard in the previous sessions about how, with increasing life span, an aging population, increasing obesity, more comorbidities, and new technologies, health care is becoming more expensive. Genetics is likely to exacerbate all of this.

I have mentioned before that we have the EPC reports. I mentioned some of the projects on producing new outcomes in clinical decision support tools. There are some things that we are doing, but we need to do a whole lot more. I will end there.

DR. TEUTSCH: Great. Thank you, Gurvaneet.
That is good.

[Applause.]

DR. TEUTSCH: You are going to be here for the day, right?

DR. RANDHAWA: Yes.

DR. TEUTSCH: You know we are running late, but I think there will be some questions. If you are here, they will come up as we go along. So, thank you, and thanks for your adaptability with having the wrong slide set available to you.

I think it is apparent to everybody that the reason there is so much attention at the federal level to this is, this is one of the few things that are likely to provide some solutions to the rising health care costs. So, the work is getting cranked up.

One of the people who has played an enormous role in this for many years and certainly is again at this time, is Dr. Harold Sox. He has been chairing the Institute of Medicine's Committee on Comparative Effectiveness Research Prioritization. That group was tasked with recommending the particular comparative effectiveness studies the government should undertake with the ARRA funds.

Harold earned his medical degree from Harvard and has served on the faculty at Stanford and Dartmouth.

He has most recently been the editor of the Annals of Internal Medicine. I understand, Harold, that we are getting to the last month of that tenure.

DR. SOX: Four more weeks.

DR. TEUTSCH: But who's counting. I'm sure that there are some important next steps which I don't know about, but Harold has made some important

improvements in the Annals of Internal Medicine to bring this kind of information to clinicians to help them practice better.

We were hoping, Hal, that you would be able to talk to us about the comparative effectiveness agenda from the IOM perspective on where this field is going and give us some hints about how genomics might fit into all of this.

I will remind the committee that we did send a letter to Hal on behalf of the committee. Again, it mostly emphasized the importance of including genomics on the comparative effectiveness agenda.

It is always wonderful to see you here, Hal. We appreciate all your leadership over many years in bringing good information to clinicians so they can make better decisions.

Future Directions and the Role of Genomics

in Comparative Effectiveness

Harold Sox, M.D., M.A.C.P.

[PowerPoint presentation.]

DR. SOX: Thank you, Steve. I want to say first that everything I'm going to say today is in the

public domain. The reason for emphasizing that is that Institute of Medicine reports are embargoed until they are released. I don't want anybody to interpret anything I say as reflecting the content of the report, so everything is in the public domain. I will try to be as careful as possible on that score.

CER, Comparative Effectiveness Research, and the promise of this is really thrilling to doctors. It is a focus on making better decisions. I can't think of a program of research that has more of a focus on something that is so important to patients and physicians, as well as researchers who work in this field.

Steve has already said something about the ARRA and the role of CER in it. The only thing I would add is that the funding timeline is that the money has to be obligated by the end of next calendar year, although I gather it can be spent for considerably longer than that.

We are not limited to really short-term studies. On the other hand, we would like to have some short-term studies get done, get published, and make a difference so as to build public support for this type of research.

Now, definitions are really important. They tell you what is and could be funded with CER funds. Our committee spent a fair amount of time trying to conflate the other definitions that are out there into something that is short and sweet and covers everything.

Our definition is two sentences: "The generation and synthesis," meaning both original research as well as summarizing the research that is out there already, "of evidence that compares the effectiveness of alternative methods to prevent, diagnose, treat, monitor, and improve delivery of care for a clinical condition." You can see it is a very broad field of topics to be included under this umbrella. "The purpose of CER is to help patients, clinicians, purchasers, and policymakers make better-informed health decisions."

Let's briefly talk about what is unique about CER. It is unique, I believe, because it includes all five characteristics that are listed here. I have circled the first three because I think they are really the most important for us to keep in our heads. The first is direct head-to-head comparisons of alternatives, treatments, tests, or whatever, any of which might be the

standard of care.

Second, the study population should be representative of clinical practice.

Third, the research should be patient-centered in that it should help physicians and patients to tailor the choice between alternatives to the specific characteristics of that patient, using data gathered by the physician and offered by the patient. It has a broad range of topics, as we have already noted, which includes the delivery of health care, the translation of research into practice, and a broad range of potential beneficiaries.

I want to say an extra word about the patient-centered concept. Let's suppose we have a randomized trial that shows that Treatment A is better than Treatment B. Sixty percent of patients respond to A but only 50 percent to Treatment B. Nonetheless, since 50 percent of the patients responded to Treatment B, it is clear that it is by no means an inert substance.

If all you knew about the patient was that they were like the patients in this trial, then you should prefer Treatment A.

Is it possible that some patients actually should have chosen B despite the fact that most patients got better on A. Can we identify those patients in advance and steer them in the direction of the treatment that they are most likely to respond to. That is an intriguing research question that I believe should be an important one in the research agenda. That is just a personal view.

Now I'm going to try to give an example of the principles of comparative effectiveness research to genetic testing for diabetes susceptibility. I made these slides pretty late last night and, in a fit of madness, didn't include the reference, which was to an article in Annals of Internal Medicine, the journal that I edit, in its April 21st issue, for those of you who want to follow up on this.

Let's see how things go here. Steve Goodman is going to come along to pick up the mess that I leave in terms of the analytic side, so I know I'm safe in venturing out on a limb.

Here is the background. Genome-wide association studies have identified a number of loci

associated with type 2 diabetes and a number of SNPs associated with each of those loci. The purpose of this study was to examine the joint effects of genetic loci and conventional diabetes risk factors. In other words, to compare conventional risk factors' ability to predict who is going to get diabetes with a combination of genetic information plus conventional risk factors. So, what does the genetic information add at the margin. That is clearly a CER question.

The study, which was done by a group mostly based at the Brigham and Women's Hospital in the Harvard School of Public Health, attempted to predict the onset of diabetes in women, taken from the Nurse's Health Study cohort, and men, taken from the Health Professional Follow-Up Study. It was a subset of these patients who agreed to give blood for testing.

It was a case-control study in which the cases were those who developed diabetes and match controls who did not develop diabetes over a period of about 20 years, during which time the participants were contacted by the study every couple of years to see if they were reporting the onset of diabetes. The exposure in this case control

study would be these genetic loci and the SNPs and conventional risk factors.

The goal here, then, is to calculate the odds ratio for exposure. In other words, the frequency of these SNPs in cases versus controls. By a wonderful mathematical trip, this is mathematically equivalent to the odds ratio for being a case that is having diabetes or developing it given exposure versus no exposure. Any of you can prove that to yourself with mathematical manipulations that you learned as a freshman in high school.

The conventional risk factors they examined included BMI, physical activity, and energy intake, because they did dietary assessments in these participants periodically. They calculated a genetic risk score, GRS, where, basically, the more SNPs you had, the higher your risk score. They had both the strictly additive model as well as one that weighted different SNPs differently. The goal then was to have a multivariate model to predict diabetes risk.

Here are the main results. They divided the participants into quintiles of equal size according to

their genetic risk score. The numbers in blue represent the odds ratio for developing diabetes. None of these patients had diabetes at the outset. You can see that there is a nice dose response curve. The higher the genetic risk score -- in other words, the more SNPs that were associated with the development of diabetes -- the higher the odds ratio for developing diabetes.

This was, importantly, adjusted for a number of risk factors for diabetes. It implies that the presence of these SNPs make an independent contribution to predicting diabetes incidence over and above the conventional risk factors.

So far so good, but now we go on to another way to look at this, which is the ability of this information to discriminate between people who will develop diabetes and those that won't. To do that, you calculate an area under the ROC curve. That is not shown in the next slide.

Believe it or not, I couldn't retrieve the figure from my home computer because I didn't have the sign-in to retrieve it. It is crazy. Four weeks to go. I may still do it.

The ROC curve actually gives you the probability that a person who is destined to develop diabetes will have a higher score than somebody who is not destined to develop diabetes. As it turned out, the area under the curve for conventional risk factors was 0.78, which means the probability that somebody who is destined to develop diabetes will have a higher score is almost 80 percent.

If you add in the genetic risk score, it is 0.79. Basically, it doesn't make any contribution, or at least any clinically important contribution, to discriminating between people who will develop diabetes and those who won't, which would be important for targeting programs to try to reduce the incidence of diabetes through the use of behavioral change as well as Metformin.

So, why does the genetic information add so little discriminatory power. One possibility is that in the statistical analysis there is colinearity, which basically means that the genetic factors influence the diabetes risk through the conventional risk factors and so, in effect, don't really add any information.

Another possibility is that the prediction is so good with just the conventional risk factors that genetic information can't add much.

Still a third possibility, which may be the best one of all, is that the area under the curve is really a poor measure of discrimination. Some of you who are hip on this stuff will know that there has been a big flurry of interest in what are called reclassification indices, which basically measure the ability of a prediction rule or prognostic rule to move somebody from a medium risk either to a high risk or to a low risk. These may turn out to be better measures of the addition of extra information like diagnostic tests in predicting the future, which will really be a very important development, I think, for CER. We are going to see a lot more of these reclassification indices.

Let me say a few words about our committee. As Steve in his introduction said, the ARRA mandated a study by the Institute of Medicine that had to report by June 30th, which was exactly 19 weeks after the President signed the bill into law. It was to include recommendations on national priorities for CER. In other

words, conditions or research questions to be addressed with the CER money that you heard about earlier. In addition, they mandated that we consider input from stakeholders.

We built on the experience at AHRQ in our approach to trying to get stakeholder input. First, we held an open meeting at the National Academy of Sciences building, where we heard from 56 presenters in seven hours and had a really good opportunity to ask questions of them. It was really a highly satisfactory meeting which held its audience, both people who weren't on the committee as well as people who were, really quite well.

As these types of meetings go, they are always very rewarding. You come away with a really good, warm feeling.

In addition, following AHRQ's lead, we did a Web-based survey that was open to anybody. Mostly it was health professionals and organizations of health professionals that made recommendations. We asked them to give us their top three condition-intervention pairs in order of priority. We had over 1,000 unique respondents and over 2,000 nominations, of which a number

were duplicates entered by somebody who really wasn't in the spirit of things.

Here are some of our priority-setting criteria which were outlined on the website. This is the information that we really asked nominators to identify as one of the reasons for making their nomination.

In addition, we paid a lot of attention to trying to get a balanced portfolio of topics so that we didn't leave any important area completely high and dry.

For that we developed several criteria for trying to balance our portfolio and paid a lot of attention to that during our discussions.

The next steps are that the report now actually is in the review process of the National Research Council of the National Academies. We hope that we will be able to deliver our report on time in a couple of weeks.

I'm now going to turn to a question that a lot of people are wondering, which is, in health reform legislation, will CER be there. If so, what form is it likely to take. To do that, I turn to the important white paper issued by the Senate Finance Committee several weeks ago, A Call to Action: Health Reform 2009.

The language here is basically the language of the report.

It first says that a number of respected panels had called upon Congress to create a national entity charged with conducting CER-type research, including one from the Institute of Medicine, in which I participated.

They go on to say the plan would create a new institute charged with identifying the most pressing gaps in clinical knowledge. From that language you can imagine something new is going to happen.

The proposed institute would be private, nonprofit, with a board of governors representing both the public and private sectors. It would be created as an independent entity to remove the potential for political influence on the development of national research priorities. Now, whether this will come to pass is anybody's guess. This is what the Senate Finance Committee was thinking about. In an address on Tuesday at the Brookings Institution, Senator Baucus reaffirmed his preference for this arrangement.

The institute should not only recommend areas of inquiry, it should produce research. It should be

able to contract with federal agencies that have bureaucracies set up to issue requests for proposals and evaluate them and generate reports based on them. It must also have the flexibility to deal directly with private researchers as well as through government agencies.

Very importantly, the institute should be open to public interest and transparent in order to maintain the integrity of the research, just as this body is open to the public and functioning entirely out in the open.

Most importantly, the institute should be subject to rigorous oversight of its finances in order to maintain the public trust. These new endeavors would need an adequate and stable source of funding. Since the research would benefit all Americans, it seemed reasonable to the Senate Finance Committee to levy a small assessment on private health insurers as a way of ensuring a steady flow of dollars that would not be subject to the annual appropriations process. That is what the Senate Finance Committee has in mind.

Finally, just a word about public attitudes towards CER. Scott Gottlieb, who is a deputy

commissioner of the FDA, wrote a very negative op ed in The Wall Street Journal representing one point of view that emphasized the potential harm of doing better research.

[Laughter.]

DR. SOX: He was echoed by Rush Limbaugh.

On the other hand, the American public, as you will see in a second, seems to like the idea. I'm now going to refer to a national poll commissioned about two months ago by the Herndon Alliance. This is the part to read. This is the statement that the respondents were supposed to react to. You can see basically that a total of 73 percent favored or favored very strongly this statement and only 17 percent were against it, with 10 percent not being able to decide.

Interestingly, they framed the question two different ways and assigned them randomly to respondents.

In one version of it, it had costs in it. In the other, it didn't have costs. Maybe this just reflects the fact that people didn't read it very carefully, but the strength of preference was the same whether or not cost was included in the framing question.

I will end by restating the promise of CER, information to help doctors and patients make better decisions.

[Applause.]

Question-and-Answer Session

DR. TEUTSCH: Why don't we take one or two questions for Hal. This is terrific. Hal, I hope you can stay because we hope to have more discussion later. Jim, then Sam.

DR. EVANS: I just have a quick question. What arguments do people make against this? I'm trying to think of some but can't.

DR. SOX: I can't, either.

DR. EVANS: I will call in to Rush Limbaugh.

DR. SOX: Yes, that is right. Sam.

DR. NUSSBAUM: Hal, again, congratulations on supporting all of this research, leading the IOM effort.

As you mentioned on Tuesday, Peter Orszag also believes that comparative effectiveness research done right will really play a key role in bending the curve on cost.

The question I have is -- and it sounds like this is embargoed and you can't mention it -- of the

1,000 people who responded on the survey and the 2,000 ideas, did genetics rise high in the domain of what people want to look at, or was it more likely, based on the public hearings, focused on common costly illnesses like cardiovascular disease?

DR. SOX: You are right, Sam. I really can't answer that, or shouldn't answer that and won't.

DR. NUSSBAUM: Just another point. The elephant in the room, of course, is cost. People have used the issue of cost and not looking at cost in creating concern, both on the very politically right and on the political left, actually. People have been concerned that this would fly in the face of personalized medicine and it would lead to in fact rationing of care for unique populations.

You are as knowledgeable as anyone in this space. Do you think that is a concern? Not whether you think the public thinks, but do you think that it would actually cause that harm?

DR. SOX: Speaking personally, the short answer is we clearly need to know about the value that we get for the resources that we are expending on patient care.

I worked for the American College of Physicians, which issued a position paper which we published that came out very strongly for including cost effectiveness information basically as part of the CER effort. We had an editorial by Gail Valinsky [ph] and Alan Garber [ph] commenting on that issue. Both basically agreed, by the way.

As everybody knows, the words "cost" and "cost effectiveness" are really toxic in this town. We will just have to see what happens.

MS. WALCOFF: I just have a quick question on if you are considering liability issues. I thought it was really important, the notes you emphasized, on using comparative effectiveness research in addition to the physician's discussion with the patient and what is best for that individual patient, the real patient focus.

Suppose a study shows that Product B is generally better for most people but the physician thinks that Product A would be better for this individual patient. Is there a concern that, depending on what that physician is basing that decision on, that might expose him or her to some kind of liability if the research is

more limited on the benefits for that particular subgroup or that particular patient? Is that factored into the comparative effectiveness research protocols?

DR. SOX: I'm actually embargoed from saying anything about the process that we went through and our discussions, so I really can't say whether that issue came up or not during the discussion.

Speaking just for myself, I think that we need to understand a lot more about the degree to which malpractice concern actually plays a role in doctors' decisions to, for example, get diagnostic tests under circumstances where the probability of their changing care of the patient are very low. It is surprising how little research you see on that subject. We don't see very much of that at our journal. I wish we did.

DR. TEUTSCH: Julio, and then we will need to take a break.

DR. LICINIO: I had a question. You brought up the very important issue of the autonomy of this entity and the idea that it should not be part of the NIH or a public entity because of the fear of political influence.

If you put it in the private sector, essentially make it

independent but with a private component, and fund it apparently exclusively by the insurance companies, would that create another type of potential influence?

DR. SOX: What are you thinking of?

DR. LICINIO: In terms of setting agendas, for example. If something is of interest for an insurance company, can they lobby and put direct or indirect pressure for what should be a topic of study?

DR. SOX: What leverage would they have? The money that is funding the enterprise is coming from a tax that exists because it is a law.

DR. LICINIO: There may be people on the board that have alliances to them.

DR. SOX: The Senate Finance Committee, as I remember, said something about how there should be both private and public sector representation on the governing board. Presumably, there would be open declaration of people's financial relationships. Because the meetings would be occurring, and I'm hypothesizing now, just like this one, out in the open with anybody to comment and to see if people are ruthlessly pushing their particular financial advantage, it would be unlikely that that would

lead to the group as a whole making a decision reflecting one person's lobbying effort.

DR. TEUTSCH: Part of it was federal.

DR. NUSSBAUM: Actually, the health plans, about two years ago, suggested this type of funding, a tithe, to lead to sustainable financing. A lot of this is being worked out in additional legislation being proposed in the House and Senate, but it is one of many funding sources.

I think the theme that Hal is pointing out is the public-private partnership theme to this because everyone benefits, as opposed to, just historically, a government agency looking at these issues, where the focus might be actually more on CMS beneficiaries or others.

DR. TEUTSCH: Thanks so much, Hal. This was a terrific presentation. Thanks for all your work over many years. All the best as you move on to the next phase.

Please, if you are staying, we are going to have a panel at the end. We will have the chance to revisit this with all the speakers who can stay with us.

You should have received the draft of the memo to David Blumenthal. If you have any comments, would you please get them to Sarah before noon? If you think it needs discussion, get back to her. Otherwise we will see to finalizing it. Thanks.

We will take a 10-minute break and reconvene before 10-to. Thanks.

[Break.]

DR. TEUTSCH: As we continue our discussion on clinical utility and comparative effectiveness, our next speaker is Dr. Michael Lauer from NHLBI. He is director of the Division of Prevention and Population Science. He is a cardiologist by training and completed his work in cardiovascular epidemiology at the Framingham Heart Study. He joined the staff at the Cleveland Clinic in '93. During his 14 years there, he established a world-renowned clinical laboratory research program focused on diagnostic testing and comparative effectiveness.

We have asked Mike to talk from the perspective of NIH because, as you have heard, NIH is playing an increasing role in the comparative effectiveness world. Here again, he can't speak to the specific priorities,

particularly as they relate to the ARRA monies, but he will be talking about the focus on the role of genomics research and comparative effectiveness from the NIH perspective.

Welcome, Michael. It is always good to see you. We look forward to what you have to say.

Role of Genomics in Comparative Effectiveness Research:

NIH Perspective

Michael Lauer, M.D.

[PowerPoint presentation.]

DR. LAUER: Steve, thank you so much for the invitation. I'm going to briefly review a number of areas of interest to the NIH in comparative effectiveness research. First, I will review the history of comparative effectiveness research at NIH, a little bit about the many definitions of CER, the impact of the Stimulus bill on CER, how NIH activities on CER are organized, and then a few closing thoughts about the opportunities and challenges that the Stimulus bill present to us.

The first question is, do we really need to have CER. I think, as you have heard from the speakers

before, it is quite clear that there is a need for evidence.

This is an interesting study that was done by Sid Smith, Rob Kaliff [ph], and colleagues, where they went through all the guidelines and recommendations that have been released by the American Heart Association and the American College of Cardiology over the last 25 years. They made a number of interesting discoveries.

The first is that the number of recommendations being given to doctors has increased dramatically. You would think that is great, but the number of recommendations that are actually based on solid evidence, that proportion has actually gone down. Most of the new recommendations that have come out have been based on soft or absence of evidence.

The second thing that they did was they looked at those recommendations that are currently active and classified them as being based on Level A evidence, Level B evidence, or Level C evidence. Level A evidence means real evidence. It means multiple randomized trials. Level C evidence means opinions or consensus or "expert" opinions.

What was found was that only 11 percent of currently active recommendations in cardiovascular medicine are based on Level A evidence, whereas 50 percent are based on Level C evidence. Fifty percent of the recommendations and current guidelines are based on expert opinion only.

Now, the NIH has a longstanding history of comparative effectiveness research. We have been doing this for decades. In fact, in this week's New England Journal of Medicine, the lead article is the main results of the BARI 2D trial. This was a major comparative effectiveness study that compared revascularization versus medical therapy in over 2,400 patients with diabetes. It also compared insulin sensitizing therapy versus insulin provision therapy in these patients with diabetes.

It found, actually, that there were no differences. The outcomes were just as good with medical therapy as with revascularization and just as good with one kind of diabetes therapy as with another. This is just an example this week of a major comparative effectiveness study funded by NIH that came out.

The study that Hal Sox mentioned earlier this morning that was published in the April 21, issue of Annals of Internal Medicine was also funded by the NIH.

Here are some other examples of major landmark comparative effectiveness studies. We have drug versus drug. The upper left-hand corner is the CATIE trial that compared different drugs for schizophrenia. The middle one is the ALLHAT trial that compared different hypertensive drugs in people with hypertension.

The upper right-hand corner is screening versus usual care. This was a big trial which I will show you in a moment. It compared the use of a screening test for preventing deaths from cancer.

The bottom left-hand corner is lifestyle versus drug. This is a diabetes prevention project that compared lifestyle versus drugs and found that lifestyle actually did a better job of preventing the onset of diabetes.

In the lower right-hand corner is an example of a drug versus device trial. This was a trial comparing Amiodarone to defibrillators for prevention of sudden cardiac death in patients with heart failure. It looked

like the defibrillators did better. These are just a small set of examples of many comparative effectiveness studies that the NIH has funded over many decades.

Here are two examples of trials that have just come out this year. This is screening versus usual care for prevention of deaths from prostate cancer. This was a trial that involved 77,000 men. They were randomized to get a screening PSA and digital rectal exam versus conservative management. What was found was that patients who were randomized to the screening arm had more cases of prostate cancer diagnosed. That is good. That is exactly what you would hope to find.

However, there was absolutely no difference in the rate of deaths. In fact, actually, the death rate from prostate cancer may have been a little bit higher in those people who were randomized to screening. This is a huge comparative effectiveness study funded by NIH.

Here is another one, a smaller study that compared two different types of surgery for patients with coronary artery disease and left ventricular dysfunction.

One type of surgery involves bypass. That has been done for a long time. The other kind of surgery involves

removing a portion of the ventricular wall and then putting the rest of the heart back together. This is an operation that has actually been fairly popular for about 10 to 15 years and was gaining in popularity.

This trial compared these two approaches. It turns out that there was absolutely no difference in the outcomes. Probably a simple bypass operation alone will do.

Here is an example of a trial that we are doing right now that directly hits upon genetics. This is called the Clarification of Optimal Anticoagulation to Genetics trials, or the COAG trial. One of the major reasons I went into cardiology was that I loved the acronyms. Cardiovascular trialists are very good at this.

[Laughter.]

DR. LAUER: This trial is going to compare two strategies for dosing Warfarin. It is a randomized trial looking at patients who have an indication for being on Warfarin for at least three months. They will be randomized to one strategy in which genetic test results will be used to determine dosing, and the other strategy

will be based on the clinical algorithm only.

There are two genes here. One is called the 2C9 gene, which affects the disposition of Warfarin. The other is the VKORC gene, the Vitamin K Epoxide Reductase gene, and that affects the target of Warfarin. It turns out that these two genes are fairly common and have strong associations with the Warfarin response.

We have a very large infrastructure for doing comparative effectiveness research. Again, one that has been around and has been developed for many decades includes clinical trial networks, cooperative groups, disease registries, and the HMO Clinical Research Network. This is a network that is being funded through the National Cancer Institute and the NHLBI in which data are being extracted from electronic medical records of over 10 million patients.

There is a consensus development program for evidence syntheses. The National Library of Medicine has a Center on Health Services Research. CTSAs, or the Clinical Translational Science Awards, are relatively new over the last few years. The idea of this is to bring community collaborations into clinical research.

There is now active collaboration between NIH and FDA on post-market surveillance. Within the National Cancer Institute, there is integration of the SEER cancer surveillance data set with CMS. There are huge infrastructures in place, with lots of people with lots of expertise in areas of comparative effectiveness research.

Now, with this new interest and the new legislation, we have had to struggle with many definitions. Hal briefly alluded to those. There are lots of definitions. Here are a couple of them.

The CBO definition, the Congressional Budget Office definition, came from Peter Orszag's report in December of '07, in which he said that CR is a rigorous evaluation of the impact of different options that are available for treating a given medical condition for a particular set of patients. Such a study may compare similar treatments, such as competing drugs, or very different approaches. I'm just showing you some examples of studies funded by NIH that would fit that.

The FCC is the Federal Coordinating Council. This is the council that was put together by the new

Stimulus bill to oversee the federal government's efforts in comparative effectiveness research. The first time I saw in an Email we are going to have to consult the FCC, I thought, what does the FCC have to do with this? I felt too dumb to ask.

[Laughter.]

DR. LAUER: Anyway, the FCC is using this definition, or at least it was using this definition when I made this slide. "Conduct and synthesis of systematic research comparing different interventions and strategies to prevent, diagnose, treat, and monitor health conditions."

I think there are some interesting points here.

One is that there is conduction of research and there is synthesis of research. Also, this goes beyond treatment.

It also involves prevention, diagnosis, and monitoring.

It also points out that the purpose of this kind of research is to inform patients, providers, and decision-makers about which interventions are most effective for which patients under specific circumstances. Mike McGinnis at the IOM has a great line for this. It is "the right treatment for the right patient under the

right circumstances in the right setting."

Here are some common themes that exist across these definitions. One is that there is some kind of valid comparison. We are comparing something against something else. The second is that the research is focusing on effectiveness as opposed to efficacy. Effectiveness means that we are dealing with the real world. These are real patients being seen in real circumstances in real practices. We are dealing with available options. In other words, not drugs or devices that are only available under IDEs or that are highly novel or virtually nobody is using it.

There is also a focus on real outcomes. One way of thinking about real outcomes is, real outcomes are those that real patients and real policymakers really care about. Real outcomes would include length of life, quality of life, prevention of major clinical events like heart attack, stroke, hospitalization, diagnosis of cancer, and cost.

The Stimulus bill has presented the government with a unique opportunity to focus renewed attention on comparative effectiveness research to the tune of \$1.1

billion. NIH is getting \$400 million. AHRQ is getting \$300 million. The Secretary is getting \$400 million. Much of the impetus for this bill comes from the Congressional Budget Office report that Peter Orszag put together.

Peter Orszag, of course, as you know, is now the director of the Office of Management and Budget. One thing that he loves to focus on is the plot there on the right showing variations in health care spending across the United States. I don't know how many of you read Atul Gawande's fabulous essay in the current issue of The New Yorker in which he pointed out that McAllen, Texas, which I will admit I had never heard of before, now has the distinction of being the most medically expensive town in America.

The point is that there are huge variations in resource use in medical care across the country. Yet, these variations in resource use do not appear to be related to outcome. Elliott Fisher published a terrific paper in Annals of Internal Medicine in 2003 in which he looked at that. There has been a variety of analyses after this that all show the same thing.

The NIH, in response to the Stimulus bill, has formed a coordinating committee. This is chaired by Betsy Nabel, who is my supervisor and the director of the National Heart, Lung, and Blood Institute, and Dr. Richard Hodes, who is the director of the National Institute of Aging. I'm on that committee.

We have been charged with a number of responsibilities, including determining how we should best use the Stimulus funds, how we should best collaborate with sister agencies and in particular with AHRQ, how we should put together our portfolio analyses of just exactly how much CER we are doing and of what type, how we can best communicate and disseminate our CER findings, accelerating research through existing mechanisms and new programs, which I will talk about in a just a second, and then considering the agency's long-term charge for CER.

Again, NIH has been doing comparative effectiveness research for a very long time, for many decades. We see this as an opportunity to jump-start a new pace of CER, but something that should go way beyond the two-year span of the Stimulus bill.

We plan to obligate the \$400 million in ARRA support for a variety of activities. One is peer-reviewed meritorious grants. What this means is that over the past couple of years there have been a number of investigator-initiated grants that came in that got good scores but, because of our budget limitations, we were unable to fund. We are now going to be able to fund these grants. In fact, yesterday I was in a meeting of the coordinating committee and we went through a number of the grants that we are considering funding.

The second is supplements to current research.

These are people who already have grants or contracts, providing them with some additional money. This is actually a relatively small part of the NIH spending plan.

Challenge and grant opportunity grants. How many people in this room either sent in a challenge grant or know somebody who sent in a challenge grant?

[Laughter.]

DR. LAUER: How many people in this room missed meetings because of that?

The challenge grants are two-year, \$1 million

opportunities in a variety of areas. One specific area was CER. We received 21,000 challenge grants, of which 1,700 were specifically in CER. We are now in the process of reviewing them, and it is going to be a busy summer.

The second big area are the grant opportunity grants. The grant opportunity grants are two-year grants for more than \$1 million. We did issue RFAs specifically in comparative effectiveness research. I don't really know how many we have received. I know in NHLBI we have received about 50, but that is an incomplete count.

Now, the next area are contracts. Many of our trials are funded by contracts. We will be exploring within the next two years areas in which we can enhance those trials. Funds will be awarded based on peer review, scientific opportunity, and potential biomedical and public health impact.

Now, there are a number of challenges that the Stimulus bill has presented. Scientists, even highly-driven scientists, are not used to two-year timetables, so this rapid timetable has presented some interesting challenges for us and for the scientific community.

One of the major worries that the scientific community has is what we are referring to as the cliff. That is the cliff that is going to happen in two years when this bolus of spending suddenly disappears.

Two-year funding mechanisms are unusual. Most NIH grants are four or five years. Many of our contracts are seven to eight years. There is a political context within which all this is happening. You have heard some of it this morning. The term "cost effectiveness research" gets a number of people very uptight.

There is the question of economic impact. Now, there is economic impact of the Stimulus funding, which is that we hope that by providing this money to researchers, universities, small businesses to a lesser extent, that we will be either creating jobs or retaining jobs.

There is also the question about the economic impact of comparative effectiveness research. There are some people who feel that this is going to be the answer to all of our health care woes and will dramatically cut cost. There have been other estimates that have suggested that the impact will be much more modest.

Interagency contexts. This provides a great opportunity for agencies to cooperate more with each other than they have been. We have had some great examples of interagency cooperation. There are a number of research projects that are jointly funded by NIH and AHRQ. We funded a major comparative effectiveness study on emphysema surgery in which CMS issued a ruling that they would only cover the operation as part of the trial. That is another great example of cooperation and collaboration between agencies.

What will be the long-term effects of a one-time bolus infusion. We don't know. The level of accountability is at unprecedentedly high levels. We keep getting reminded about this constantly. We have been told, for example, that we are not allowed to have communications with registered lobbyists unless that occurs in writing. There is real worry that registered lobbyists will be trying to directly interact with NIH staff on specific projects or applicants, and we have been told that we have to be very careful.

Pressure on review functions. The NIH normally gets about 77,000 grant proposals per year. It is

estimated that this year we will get 115,000. All those people who are writing grants are also being told that we expect them to review, and we are hoping, of course, that we will be able to do this review in both an expedited but also fair and objective way.

Stay tuned. The comparative effectiveness research train is moving very fast. I want to thank you again for the opportunity to be here.

DR. TEUTSCH: Great. Thank you.

[Applause.]

Question-and-Answer Session

DR. TEUTSCH: I know Steve is going to put up his computer. Why don't we see if we have a couple questions. I know this is a timid group. Sheila.

MS. WALCOFF: On the registered lobbyist limitation, I just saw I think it was about a week and a half ago that the White House Counsel's Office had expanded that to lobbyists and non-lobbyists. I don't know if that is more restrictive or less restrictive on you, but I just wanted to alert you to that in case it hasn't gotten down throughout the departments.

DR. TEUTSCH: Other questions or comments?

[No response.]

DR. TEUTSCH: To what extent do you foresee the genomics portion playing a role in all of this?

DR. LAUER: I think it is going to be fairly huge. As you know, much of the genomics work right now has been primarily in the area of epidemiology. We have put genomics data from Framingham and we are about to put genomics data from WHI and MAISA [ph] and other big trials into publicly available databases. This has been used primarily for studies of mechanisms of disease and epidemiology of disease.

NHGRI has an initiative to incorporate genomics with clinical trials. We have all these clinical trials.

We have funded many clinical trials. We have biological specimens from tens of thousands if not hundreds of thousands of people. DNA can be extracted. We can now do genotyping for much lower prices than we used to. It is actually now realistic to talk about genotyping 10,000 or 20,000 people who are in a trial.

I can't talk about specific proposals, but there are a lot of them. I actually saw yesterday the list of projects that we are considering funding, and

there are some real good ones. The clinical trials area, I think, is another big area.

The other is that investigators are getting interested in doing genomics-based trials. COAG is one example, but we have seen proposals from investigators where they want to actually test an interaction to see whether or not a treatment is more likely to work in a group with a certain genotype as compared to a wild-type genotype. They are actually proposing trial designs and giving us these trial designs to look at.

My guess is that, particularly as the cost of genotyping is going down, we are going to be seeing more and more of these trials and we will be funding them.

DR. TEUTSCH: Great. Thanks so much, Michael.

I know you have to get off to Cleveland, so thank you for visiting with us before you have to take off.

Our next speaker is going to focus on some of the challenges going forward with methodologic issues and doing these kinds of studies for a very fast-moving field. Until relatively recently we have had a fairly constrained set of processes for doing this, and you have heard some of them today about systematic reviews,

trials, and somewhat in the observational study range. These present some real challenges for a field that is changing as fast as this one.

We asked Dr. Steven Goodman, who has been heavily involved in thinking about these issues for a long time, to talk to us about where this field might go.

We are deeply appreciative that he could come today. As you heard from Hal Sox, Steve also serves on the Annals as the guru of all things methodologic, as well as the doer of all of these things.

It is a real pleasure to have you here to help us think about where this is going and how we might think about all of this. Steve, welcome.

Future Directions and Developments

in Research Methodologies

Steven Goodman, M.D., M.H.S., Ph.D.

[PowerPoint presentation.]

DR. GOODMAN: Thank you very much. I never was introduced as a guru of anything, so I don't know if I can quite live up to that.

I do have to divulge a conflict of interest here. I have worked with Gurvaneet on a project

recently, and he knows that I have completely eschewed the use of the terms "clinical utility" and "clinical validity" as hopelessly confusing and unclear. I don't know if that banishes me from the room, but I'm not a big fan of those.

I will focus on some aspects of this.

Predicting is always hard. I think that is another Yogi Berra quote. Prediction is hard, especially when it is in the future, something like that.

DR. TEUTSCH: Niels Bohr.

DR. GOODMAN: Oh. Thank you very much. Yogi and Niels were very close friends.

[Laughter.]

DR. GOODMAN: I'm going to be focusing on a very, very small piece of that, not specifically on CER but in the genomics realm. I did want to follow on Hal's promise that I would come after him and help out some of the technical points.

This is the miracle of having computers with your whole life on it and all your talks. I thought I would just show this slide, which shows the relationship between population classification and individual

classification. What you see here are two populations that correspond to a biomarker.

This is the distribution of the biomarker and the probability of the number of people who have the biomarker of some arbitrary value. This corresponds to two populations, non-diseased and diseased, where the odds ratio related to that biomarker was 1.5. Here the odds ratio is 3.0. That is actually pretty large for most risk factors in most epidemiologic domains.

You see that no matter where you cut these populations your sensitivity and specificity is going to be awfully bad. These populations are almost right on top of each other. The reason that we get this discrepancy between what we think are large effects and what are extremely poor effects has to do with the focus on individual classification.

What we are usually interested in, until now, in the epidemiologic realm is distinguishing between populations. We can increase the sample sizes and we can make the means of these two populations arbitrarily precise, and we can see that little difference. That doesn't mean on an individual level that we can

discriminate very, very well.

In order to have biomarkers or genes or predictions that have anything close to the sensitivity and specificity we need, we have to have the equivalent of odds ratio of 25, 70, which you never see. That explains that phenomenon that you saw occurring of genetics often having very little predictive power when it looks like they have some contribution to the prediction equations. That is why that is happening.

This is just an ROC curve. This is an ROC curve of a factor that has an odds ratio of 2.0. You can see it is very, very poor, with the diagonal having no information.

That is just a little background. That was just for Hal. I couldn't resist.

Here we go. These are things that have been identified as cancer risks: electric razors; broken arms, but only in women; fluorescent lights; allergies; breeding reindeer; being a waiter; owning a pet bird; being short; being tall. If you have escaped all those possible classifications, there is hot dogs and having a refrigerator. We are all at risk.

Now, this isn't genomics specifically, but I could show the same sort of thing 10 times over in the genomics realm except you wouldn't laugh. You would say, oh, that looks interesting. The names would be KET45, 47Z95, and things like that.

It is a big problem. We are generating these reams and reams of relationships and we don't know what they mean. Here are the problems and the conundrums. You already know this. This is what I will be talking about some of the approaches to.

Often, a little background or mechanistic information helps sort out the noise from the signal in the discovery of genomic associations of putative clinical importance. In addition, the pace of discovery is much faster than the pace of evaluation. I should have put "discovery" there in quotes. The finding of statistical associations is not really a discovery, but too often we treat it as such.

Then these things are put on the table for evaluation. When we are looking at evaluation measured in human lifetimes, that obviously has to be slow. We have to be very, very careful about how we allocate our

human experimental resources. Obviously, it generates a large number of potential genetic, genomic, metabolomic, and proteomic combinations.

I didn't want to make you wait for the solutions. I have all the solutions here. We will go through them. Of course, these are not absolutely solutions but they are the beginnings of approaches. There are many more than I am going to list on the slide, but this is just going to be a few things that I talk about.

[No.] 1 is new clinical trial models. I'm going to focus on Bayesian adaptive designs that allow for rapid introduction and prioritization of new therapeutic genetic combinations. I'm going to talk very briefly about two trials that are ongoing, the I-SPY2 and the BATTLE trials, which are actually examples of this.

We need to reexamine regulatory standards and guidance that impede novel evaluation approaches such as these. I have also been told that FDA has a requirement that when you are doing a cancer trial that one of the agents actually be an established cancer therapy. That makes it very, very difficult when you are developing

targeted therapies that individually might have no effect but work synergistically, knocking out two steps in the same pathway. That is very, very difficult to get approved as a single agent.

We need support for development of tissue repositories that link clinical data and long-term follow-up from RCTs. This is a huge lost opportunity and often the only way we can get rapid results. This, of course, was the way that instruments like Oncotype DX was validated on NSABP clinical trial data from the '80s.

Actually, there are very, very few resources like that. Every clinical trial that ends without long-term storage of the specimens and clinical follow-up, which is the key, is a potential waste of that original investment. We actually have the power to be able to test many of the things that we are developing if we would start investing in this. In many trials that aren't of the NSABP type that information gets lost. We might have the tissues, but we don't have the long-term clinical follow-up. We don't have enough of it.

We need to improve methods to identify biologically and clinically relevant signals with high

throughput results. I'm also going to put in one of my soapbox items, improve methods and establish standards for reproducible research. I will just talk very briefly about that.

Let's talk about the Bayesian adaptive designs.

Bayesian adaptive designs are trials that change based on prospective rules. These are not anything-goes trials. They are very rigorously design. They changed based on prospective rules and accruing information, focused experimentation, and the most promising or informative directions.

Almost everything about these trials can change as they go on. You can change the sample size, the randomization scheme, and the accrual rate. You can drop or reenter arms or dose groups. You can explore combination therapies or doses. You can stop early for success or terminate early for futility. Most importantly, you can adapt to responding subpopulations.

You can actually change endpoints from clinical endpoints at the beginning of the trial to surrogate endpoints at the end of the trial if you see during the trial that they are correlated.

All the rules that many of us have been taught about prespecification and rigidity of design, these are actually artifacts of a traditional statistical method -- you don't want to get me going on that -- that doesn't allow for natural and common sense learning. Bayesian designs allow us to do this. The methodology is all there. We need to do a lot to get it into practice, but it is being championed by folks from MD Anderson, particularly Don Berry, who has taken the lead in getting this into practice.

Here are two trials that are currently in the planning or execution phase. I would say that at MD Anderson they have literally done hundreds and hundreds of these.

This is I-SPY2. It is an adaptive breast cancer trial design for neoadjuvant chemotherapy. That is chemotherapy in women with large localized tumors before surgery. This is to shrink the tumor to allow for a higher chance of a definitive cure.

The problems that are trying to be addressed by this design are that clinical trials take many years for both the development and evaluation of new therapies and

often ignore tumor heterogeneity, and also that the use of biomarkers for both prediction of patients who will respond to drugs and for the early assessment of that response are badly needed for more informed, faster, and smaller phase three trials. You will see that they do an amazing amount in the one package of this trial.

The basic design of this trial is, women who are HER2-positive are randomized to Paclitaxel plus Herceptin, plus or minus a new drug. Then they go on to traditional chemotherapy. Actually, there is a missing arrow here. Women who are not HER2-positive, basically the same thing, except they don't have Herceptin, obviously. They go on to traditional anthracycline and cyclophosphamide. They have MRIs and tissue samples early on, and then they have definitive surgery.

This does not actually do justice to what the trial is all about. That is more on the next slide.

It has two goals. One is to evaluate new therapies in patient subsets on the basis of the biomarkers. The second is to test, validate, and qualify new biomarkers as drugs are tested. I will talk about how they classify those biomarkers.

Regimens that show a high Bayesian predictive probability of being more effective than standard therapy graduate from the trial with their corresponding biomarker signature. If a particular therapy and a particular biomarker subgroup looks like it is very highly promising, that actually leaves the trial for testing in the phase three setting. Regimens are dropped if they show a low probability of improved efficacy. New drugs can enter as those that have undergone testing are graduated or dropped.

This is a learning trial system. We talked about the learning health care system. This is the learning clinical trial system, like we would all think common sense would dictate.

The setting, as I said, is neoadjuvant. The eligibility I have already mentioned. The endpoint is pathologic complete response.

There are three biomarker classes. There are the standard ones like HER2, estrogen receptors that are used for patient eligibility and randomization. Then there what they call the qualifying biomarkers that have great promise but are not yet approved. They are used

for the subgroup analysis. Then there is the exploratory biomarkers, for which there is very preliminary data. These come and go within the trial.

This is a list of the eligibility criteria for drugs. They start with a certain panel of drugs, but new drugs can come in, as I said, as those drugs come out. It is what you would expect. It has to be compatible with standard therapy. It has to have some reason to believe it would have some efficacy. It has to target any of the key pathways that are associated with the biomarkers. The drug must be available.

This is what is called the BATTLE trial, short for Biomarker Integrated Approaches of Targeted Therapy for Lung Cancer Elimination. Cancer easily competes with cardiology. This is a design paper that just appeared in Clinical Trials last year. This, again, is a trial where we have multiple biomarker groups. The biomarkers here are EGFR, K-RAS, VEGF, and Cyclin D. Basically, if you are positive EGFR, you are in Biomarker Group No. 1 regardless of the others. It actually proceeds downward like that until you are negative on all. This is what they predict the population will look like.

All five groups are then randomized to these four therapies. So there are 20 possible groups here at the start, with a minimum of 20 per group that is going to be tested. The randomization probabilities change as the therapy-biomarker combinations are more or less successful. It is just like the other one. They can graduate, they can stop, and the arms are dropped and more combinations added depending on what the results are.

In Bayesian adaptive designs, experimentation is a continuous process. More patients are treated with better therapies. Trials can be shorter, but not always. External or patient-specific information can be incorporated.

When are Bayesian designs more efficient. We see that they are more flexible. They are more efficient when the result is consistent with prior evidence and the evidence is permitted. That is no small thing. We are not used to actually formally incorporating prior evidence into the interpretation and design of the trials, again because of a statistical paradigm that is now 80 years old. How many other technologies do we use

that are unchanged in 80 years. We should be embarrassed.

Bayesian adaptive designs are also more efficient when design adaptations minimize unneeded experimentation -- that is, by dropping subgroups or arms -- when there can be a smooth transition from one phase of research to another, and when surrogate endpoints are informative and occur before the definitive ones.

When are they not more efficient. When the result is inconsistent with the prior evidence or that evidence isn't permitted, then the boat has to sit in each tub on its own bottom. Then you can't really borrow evidence. That is the only way to get more information from what looks like less. Somehow you are gathering and synthesizing evidence from multiple sources. If those multiple sources are seen to be not relevant or in conflict, you don't get any more efficiency. You just have to learn from the evidence in front of you.

When there are no subgroups or arms that can be curtailed, when you can't seamlessly go from one phase to another, and when surrogate endpoints are in fact not informative, then you are stuck with waiting until the

end.

I will tell you that adaptive designs are no small thing to implement. They require intensive up-front planning and simulation of the designs. This next point is, these trials are really important in exemplifying a very sophisticated data infrastructure that allows accrual and integration of almost all clinical, genetic, proteomic, treatment, imaging, and outcome information in near real time.

If you don't have this, then you can't make decisions that change the trial. You can't just wait two years and then break the code and do the analysis. This is happening in real time. We are accountable for high-quality data management on a time scale that we are not always used to in clinical trials.

What is holding us back? Flexible, user-friendly software for the statistics, design, and data management. It has to basically be built anew for each trial. Few statisticians and clinical investigators have experience in designing and carrying out these trials. It does require a lot more up-front planning time, and people like getting their ideas into the protocols and in

to the IRB and getting started in weeks or a month, and you can't do that with these. You get the payback on the back end, not on the front end.

Also, an unfamiliarity of government regulators with Bayesian designs holds us back. This is changing but still very real. I don't really blame them. The academic community itself is not that familiar with them.

Again, some of the solutions. I have talked about new clinical trial models, support for development of repositories. I won't read these all again. I will talk briefly about the reproducible research model so you at least know what that is. This was written about in an article by some of my colleagues in the American Journal of Epidemiology. I have to show Roger Peng's picture here because this is really his life's work, and it is not mine. I have to say more than just his name, so that is Roger, who works on this.

A reproducible research model is something, again, that we haven't really seen and may not be used to. In a sense, the data, the methods, the documentation, and the distribution are all part of one document. It is a fused document that has the data and

all the code embedded, but it looks like a paper that you would read. You can actually live reproduce all the analysis. You could change one point and change all the figures and all the data. It is a new way and a new standard of how research is presented. It first came out of very, very technical proposals in the computer programming literature and is now starting to see broader and broader application.

The current data-sharing model is basically you share or you don't share. Authors put stuff on the Web or they don't, or they send it to you or they don't. It might be in a journal's supplementary materials. In genomics, we do have some central database for a variety of domains, but it doesn't really solve this problem completely. Readers have to get the data, download it, figure it out, and get the software and run it. That is no small thing.

Now, the data-sharing model actually involves issues of intellectual property that are very much like intellectual property rights for software and other things. There are ways you can constrain how the data can be used. I didn't put that slide up here, but it is

much more complex than just giving people data or not.

It is a mutual partnership between the person who has the data and the person who might use the data. There are all shades of gray between total use and total non-use, which is the model right now.

This is the pathway where we have our measured data down here. Then we have our analytic data set, then our computational results, and then we generate sometimes hundreds of figures, tables, and results. Then we merge these with text and we get an article at the end of the day, and that is what we see published in the Annals or wherever.

The reproducible research model allows the reader to go all the way back here, where all of these things are actually fused within the single document. It allows for a lot more transparency.

I have to show this since Hal is here. We are trying to move this into the clinical research arena. We have made some baby steps. We can't require our authors, obviously, to do anything like what I have been describing, but I bring it to your attention as a direction in which I think we are going to be moving over

the next five, 10, or 20 years. What a research article is going to look like in the new electronic age I predict has to be very, very different. It can't be a PDF of something that appeared in paper.

Reproducible research can improve the transparency and accuracy of published research and enhance the value of post-publication peer review. For the people in this room what is important is it makes questionable results and methods easier to detect and correct. It accelerates and improves reanalysis and data synthesis. These are all things of interest, I think, here in the genomic realm, where there is a lot of spurious stuff being generated.

Here are the same solutions. I think I have touched on almost all of them. I don't have a set of possibilities there, but I only had 15 minutes to talk about it. That is another few days. I think I will stop there and take any questions. Thanks.

[Applause.]

Question-and-Answer Session

DR. TEUTSCH: Thanks, Steve. That is great food for thought for this. Why don't we take a couple of

questions for Steve. Hopefully he will be able to stay for some of the discussion, too. Jim.

DR. EVANS: That is really fascinating. Given the multiple arms, I imagine you have to look at conditions for which you have a large number of people. I think about that because there was a study a few years ago that showed that there was essentially one randomized clinical trial in the entire field. I think part of that is not excusable and part of it is because we deal with uncommon things.

DR. GOODMAN: We don't worry about power as much because we ask a fundamentally different question. We don't ask, are these treatments statistically significantly different than each other. The question might be, what is the probability that this treatment is the best. That is a different statistical question than saying, I can statistically discern this from the bottom one or from the next one. When that probability gets high enough, it goes out.

The other thing is that the information being used for that contrast is far more complex than a simple binary contrast. If you have 20 in this group and 20 in

that group, you are also sharing information from that therapy being used in all the other groups and the hierarchy within that group. So, your effective sample size is larger than the 20. This is where the Bayesian formal modeling produces effective sample sizes. This is what is called borrowing strength.

It is the same thing we do when we look at patterns. When the dose goes up, the response goes up. That is exactly what I would expect because of X and therefore I believe it because of X. If you didn't know anything about the dose, if you just labeled those dose categories as A, B, C, and D, you couldn't make that inference. You have automatically, in a sense, created information by knowing that things are ordered. Some of these are modeled a priori.

There are two ways to answer the question. The effective sample size is larger than you see in the subgroups, and the statistical questions you ask are somewhat different and require less information to answer definitively. You also have a coherent way of expressing degrees of certainty. You may choose to act in the phase two setting in graduating to a phase three setting when

you are 85 percent sure, and you have a vocabulary to say that. There is nothing in traditional statistics that allows you to say I am 85 percent sure, no P values, none of the technology.

You might say 80 percent. When it is 80 percent sure I'm going to graduate this to a phase three trial. It is the phase three trial that then provides more definitive information. These are screening trials or filters that move you on to the next phase. I think that is the best way I can answer that.

DR. TEUTSCH: Thank you, Steve. I hope you can stay to be part of the discussion as we figure out where we are going from here.

Let's pass the baton to another one of ours, Marc Williams, who is known to all of us. In his day job he works for a terrific organization, InterMountain Healthcare. They have done an enormous amount of work in translating information on effectiveness into quality care. Hopefully, it will help us understand how we go from what was new information into actually helping people.

Impact of Comparative Effectiveness Findings

on Clinical Practice

Marc Williams, M.D.

[PowerPoint presentation.]

DR. WILLIAMS: Yogi Berra did say, "I have never said half the things I have said." I would note, though, that when I was asked by Steve to do this talk that another great American came to mind, and that is Mark Twain, who said, "It is better to remain silent and be thought a fool than to open your mouth and remove all doubt."

Now, those of you who have interacted with me in this or other settings would probably be shocked to know that I was even aware of that quotation, much less ever contemplated it. However, I think it is important to say up front that I'm not sure I'm the best person to present this. The person that has really worked for 20-plus years on this at InterMountain Healthcare is Brent James. Brent has been involved nationally in the recent discussions on comparative effectiveness research.

The things that have been going on at InterMountain Healthcare have not necessarily been labeled with the rubric of comparative effectiveness

research, and so I thought I would at least present what I know, having gone through Brent's advanced training program. I have shamelessly stolen some of the slides from that program without his permission.

We tend to think about this as more quality improvement or improvement. To reduce comparative effectiveness to half of a table on a slide is probably ridiculous, but I think we have heard this morning that the definitions are evolving. Hopefully it will be a little bit easier to settle on the definition of what is a genetic test.

Methodologies are diverse. I'm not going to recapitulate this, but obviously we just heard about a couple of methodologies that I haven't even represented on the slide here.

Quality improvement is really primarily management of processes. It also uses a variety of methods. It is not primarily a research tool, but I hope we will demonstrate to you that it can result in impressive improvement in care and that that improvement in care is in fact able to be disseminated.

I did want to define what a process is. It is

a series of linked steps, often but not necessarily sequential, designed to cause some sort of outcomes to occur, transform inputs into outputs, generate useful information, and add value.

Of course, a lot of this comes from industry, specifically the post-World War II Toyota model and work by Demming and others that have really helped to transform in industry what quality means. We found that these concepts actually will operate in the health care arena.

To do process management, you have to start with a knowledge of what are the processes that you are dealing with, understand the processes aggregate to create systems and that these processes interact, and know that there is clearly variation in terms of the operation of the processes. It does require, much as we heard from the last speaker, a system for ongoing learning. What we want to try and do is to build a system to manage processes, and then ultimately, if that is a rational system that works, you get what results as quality improvement theory.

When we are defining and measuring outcomes in

medicine, we can roughly aggregate these into three buckets. One would be characterized as physical outcomes. These would include medical outcomes such as complications, therapeutic goals, morbidity and mortality, et cetera. Some of these are patient outcomes, like functional status measures and perceptions of outcome.

I think it is important to recognize a flaw in much of the research that is published about patient outcomes. Many of the patient outcome studies that are published are actually physicians' interpretations of what the patient outcomes actually are, as opposed to the patients telling you what their outcomes are, a not so subtle but important difference.

There are also service outcomes relating to satisfaction for patients and families, referring providers, and other customers. It includes access.

Sheila had asked earlier about liability. It is interesting that medical liability operates more in the service outcome realm than it does in the medical outcome realm. If you seriously tick off a patient, you are much more likely to be sued than if you don't,

irrespective of what their medical outcome is.

Now, the other thing that has been raising a lot of dander in the discussion about comparative effectiveness research is the whole issue of cost. However, cost outcomes are really an outcome of the clinical process. There are lots of costs that can be counted, but our experience has been that these are inextricably linked with physical outcomes. You cannot say, we are only going to look at medical outcomes, we are not going to look at cost outcomes. You can't take them apart. If you look at medical outcomes, you will necessarily be looking at cost outcomes, even if you don't actually report them.

What I thought I would do is to give you some examples of things that we have done. I'm going to have to really distill all of the hard work that has gone into these different projects and hopefully get across some key points about how things work and leave it at that.

Now, this was one of the first major projects that was rolled out relating to clinical care. This was an extubation protocol in the post-cardiac intensive care unit. These are patients that came in for cardiac

surgery. They were transitioned into an intensive care unit. They were intubated and then they had to be extubated before they could move out to the acute ward.

As with any study, you need to know what the lay of the land is. There was a baseline data collection for approximately 18 months. What was identified here was that the mean time to extubation in hours was approximately 25, but you can see here that there is a huge confidence interval around this and huge variation in the process around this mean line.

Now, the intensivists and pulmonologists that were working on this ultimately were breaking down the process. They recognized that there were 240 independent variables that were at work that could lead to information to be presented to the physician to make a decision about ventilator management. I think most of you would agree that if you have 240 variables it is a little hard to construct a randomized control trial to control 239 of them and study how the impact of one would really do this.

The solution that was decided upon was to use a computer-based protocol where the physician was presented

with information that was thought to be most relevant to the immediate decision on ventilator management. They could choose to accept that instruction or reject that instruction. All of the decisions were captured and then, on a weekly basis, all of the research groups got together and talked about what decisions were being followed, what decisions weren't being followed, and the protocols were adjusted. This was done in an iterative process over a period of time.

This was then rolled out in a trial. You can see that within literally a month after turning this on the mean time to extubation was reduced to slightly over 10 hours, with dramatic reduction in variability. Additional adjustments of the protocol were done, and then this was the final production version that was rolled out that ultimately resulted in extubation times of just under 10 hours with the range of confidence intervals essentially existing between seven and 12 hours.

Basically, this is a proof of principle that you can take extremely complex clinical processes and distill them down and result in significant patient

outcomes.

Here are some other tangible outcomes that we can look at in terms of length of stay. We reduce the length of stay in the ICU, we reduce the length of stay in the acute care setting, and we reduce the total hospital length of stay.

Then this is an example of some procedures. This is arterial blood gases prior to initiation of the protocol. Each patient would experience approximately 12 draws. This was reduced to two draws after initiation of the protocol. The total cost of the hospitalization was reduced roughly by about \$3,000 in 1994 dollars, which I think now would translate to approximately \$7 million. I may be slightly off on that.

Here is another example. This was recognition of the evidence for patients with acute MI that did not have a contraindication that they should go home on a beta blocker. As in our baseline measurement, we were doing this successfully about 54 percent of the time. The process was broken down and a change was made. The change involved the discharge process, the discharge nurse, and the final order set. It was turned down, and

we went from this 57 at the initiation in a month to 98 percent.

This also shows something typical of quality improvement which is called holding the gain. You can see how we drifted down after initiation of the protocol.

This is very typical because processes and systems have inertia. We tend to return to what we were used to doing. Tweaks of the protocol had to be done at points two and three. Since that time we have been able to manage the process such that, on average, about 97 to 98 percent of the eligible patients are obtaining beta blockers at discharge. We did this to all cardiac discharge medications: beta blockers, ASARBs, statins, antiplatelet.

I wanted to show you an example of something that we commonly fall into in medicine. Here are our baseline measurements with the different values, and here are the national standards. You can see that we were performing at or above national benchmarks with the exception of our antiplatelet therapy. Now, in many situations we would say, good job, we are best in class save for statins, we are doing better than anybody else,

and this is great. We compare ourselves to others.

We have taken to calling this the cream of the crap approach because we shouldn't be comparing ourselves to others that are also doing a lousy job. We should be comparing ourselves to the theoretical best practice. By ignoring the national data and essentially initiating these discharge protocols, you can see that we were at or above 90 percent on all of these measures. Again, all of these were achieved within one month of turning on the protocol.

Now, this is great, but this is clearly a surrogate outcome. We are assuming that better compliance here is going to result in that. We have actually developed systems to be able to capture this. We looked at mortality one year before and after the protocol, so pre- and post-. In congestive heart failure, our mortality dropped from 22- to 18 percent, which results, in our patient population, in 331 people being alive that weren't alive a year before. In ischemic heart disease the absolute drop in mortality was less but still resulted in 124 people alive. We had 455 total between those two.

Then you can look at similar data relating to readmissions, where we avoided nearly 1,000 readmissions in the year immediately following turning on the protocol. So these are true health outcomes, things that are meaningful to physicians, to patients, and to administrators.

I should say that one of the transformational activities that occurred in our institution is that at the hospital board meetings the treasurer's report does not come first, as it does in most health care systems. Something relating to actual patient outcomes is always presented first. We hear frequently, "No money, no mission," but the reality is if we are not paying attention to the mission, we shouldn't be getting any money.

Here are the cost outcomes. I should say that these are not trivial to obtain. Hospital accounting systems are not designed to track where we are experiencing cost savings. I can also tell you that if you are not in an integrated health care system that has health plans and hospitals and outpatient all integrated under one roof where you can get a handle on all these

data, it is almost impossible to do this type of accounting. We basically had to develop a radically different way to do cost accounting to accomplish this.

Essentially, the fast-track extubation protocol resulted in savings to date of \$5.5 million. We have experienced with these top 11 interventions across, as you can see, a wide variety of clinical areas. We had \$20 million of improved cost structure, and we have had an additional 30 successful clinical projects. We have yet to have a clinical improvement project that has been successfully implemented that hasn't in fact saved money.

Will this work with genomics. We have heard a little bit about this trial. It is referenced in some of the reading materials in your packet. This is the CoumaGen trial that was done by our cardiovascular group at InterMountain Healthcare. It is a prospective randomized study of 200 patients. We were able to turn around the genotype in 48 minutes so we could use the information for initial dosing of Warfarin using a developed algorithm. We used a short-term follow-up of one month using surrogate outcomes.

We did find some differences in the genotyped

patients. The initial dose was closer to the stable maintenance dose. This is not a big surprise because the literature is replete with examples showing that if you use this information you can better predict the final dose. We had fewer and smaller dose adjustments. There were fewer INR measurements, which did result in some cost savings. We did find that wild-type patients generally required larger doses, again not a big surprise given that the recommended starting dose is due to averaging across wild-type and patients that carry variants.

We did not find differences, however, in time in the range for the group as a whole, although pharmacogenomic guidance was better for wild-type individuals. That, at least for me conceptually, was a bit of a surprise. That is, the wild-type patients were getting better benefit from this, and those that had multiple variants, which we would expect. Of course, we were not powered to detect true differences in health outcomes of interest, although the time in the range is a reasonable surrogate measure.

We also captured in parallel -- to my

knowledge, this is the first time this has been done in a prospective real-time fashion along with the prospective clinical trial -- an economic analysis where we captured all costs associated with that and were able to do cost accounting. I don't have time to present that information, but it was presented and will be published.

Why did we not find a difference. All of our patients were managed by an anticoagulation clinic. We use clinical process management in our anticoagulation clinic, so we have superior time in range compared to benchmarks. That meant we set up the field so it was going to be harder to detect differences in the first place because the patients were better managed.

It raises some interesting points to consider from the perspective of comparative effectiveness. Should a system invest in a robust anticoagulation clinic using best processes rather than genotyping. Would genotyping be more appropriate in a rural setting.

Think of a point-of-care genotype. You don't have the resources in a single two-doctor practice where they have to initiate Warfarin in some circumstances. You can't have an anticoagulation clinic there. Would it

make more sense to use the genotype so that in that setting you would be more likely to get to the right result quicker and reduce results. I don't know; we will have to test that.

Could INR monitoring be optimized. Gurvaneet presented some of the data around home monitoring, which I find to be very compelling. The clinical processes applying that to standardized dose adjustments, which we have also done in our chronic anticoagulation clinic, have resulted in much superior time in range.

I think sometimes we see this being dismissed as cookbook medicine. I like to go out to eat. I like to think that my favorite chefs are actually using the same recipe, or close to it, every time I go in there, that they are not just making it up as they go along. In some ways, it is not an apt metaphor to begin with, but I would contend that the protocol-driven work that we are doing is not equal to cookbook medicine.

The process that we use involves a multidisciplinary team. We select high-priority care processes. We do evidence-based reviews to identify best practices. We then actually put the proposed guidelines

out to the full range of practitioners who would be exposed to the guideline to get their comments and suggestions. We open up the guideline into a clinical work flow. We actually refer to guidelines in our place as shared baselines.

Clinicians are free to vary based on each individual patient based on their own individual judgment. The difference is we capture the outcomes from each of those decisions so that we can learn. When we refer to a learning health care system, this is one of the key components; that is, to have the systems in place where you can capture outcomes resulting from different decisions so that you can learn as you go along.

We have to measure. We learn. We eliminate professional variation, which is my preference versus your preference based on what we learned, in my case, 25 years ago and probably haven't updated since that time. Yet we retain responsiveness to patient variability, the idea that patients do vary. They vary around a number of different things, sometimes biologic, sometimes preferential, but that is okay.

The first rule is that whatever guideline we

come up with, it is wrong. We put that clearly on everything. This guideline is wrong. The intent is that we are going to learn from it and we are going to get it right over time. It is a rapid learning, rapid cycle improvement. Some people refer to it as building the airplane while you are flying it.

No protocol fits every patient. More importantly, no protocol perfectly fits any patient. We would be more concerned about a physician where we looked at their practice and we found that they were absolutely following protocol 100 percent of the time. That would be a red flag to us because that implies that that physician has turned their brain off.

A concept from industry that we really think that this relates to is called mass customization. If you go to order your laptop, you can pick and choose exactly what you want to do. The manufacturing processes are very standardized, but you can rapidly customize and get a laptop that is built specifically for you using processes that are standardized with very low variability and very high reliability. The shared baseline then allows us to focus on small subsets of factors that are

unique for individual patients.

These are the 10 to 15 percent of patients that really need the thought and intensity because there is something that is truly different about them. It concentrates our most important resource, which is our bright physicians and other providers, where they can really have the greatest impact on those patients.

I don't know how many of you actually manage anticoagulation clinics, but I can tell you from what I have been told that it is the bane of most internists' life. These are just miserable. It is a lot of time and there is very little reward.

Our physicians that manage our chronic anticoagulation clinic have extremely high satisfaction because they are only being asked to work on those patients where there is some really challenging clinical problem with managing their anticoagulation, which is what we all went into medicine to do. We didn't want to do a little bit of this, a little bit of that. That is all handled automatically at a much higher level than we can. Our satisfaction is actually quite high in our physicians practicing in this environment.

The protocol is really a tool that manages complexity. It retains the art of medicine because we are not forcing people into protocols. We are saying we think this is the baseline that you should start from but you need to use your best judgment to manage that patient. It actually improves productivity. We have data that demonstrate that our physicians are more productive, which they can either translate into higher income, because they see more patients, or they can translate into more family time because they can go home early.

We want to do all the right things all the time. We only want to do the right things. We want to do it every time with grace and elegance under the patient's knowledge and control.

I guess the question that I was left with after I did this is, is this really comparative effectiveness research. It is clearly comparative. I hopefully have demonstrated that we are measuring effectiveness. Where the problem comes in is with the research piece. I know, from talking with some of my colleagues that have tried to get some of this work published, that at least outside

people that are looking at this are somewhat reluctant to say that this is research. Whether this would fall into some of these newer research methodologies that we need to have more exposure to I don't know.

I think the important thing, though, is that there is clearly knowledge here that should in some way, shape, or form be disseminated to improve care. I think that these approaches will work for personalized medicine. In fact, in our system we think that they will be absolutely necessary to realize benefit from personalized medicine. That is the basis of our internal strategy to promote translation and study impact.

I would recommend to you, under Tab 6, the brief commentary article by Garber and Tunis which addresses this issue much more eloquently than I. Thanks.

DR. TEUTSCH: Thank you, Marc. I think, whether or not this is comparative effectiveness research, this is a good example of how a group can take what we do know -- I think the cardiac things are a great example -- and actually then make sure that they get to patients and improve processes so that the technologies

get to the right patients at the right time and improve outcomes.

A couple questions for Marc before we get everybody back up here and we get into a discussion?

[No response.]

Committee Discussion

DR. TEUTSCH: Hypoglycemia is beginning to set in. Why don't we invite all of our speakers who are still here, and hopefully many are, to join us up here at the table.

What we have now is some time to talk about where we want to go. This is one of our priority topics that we identified. It is clearly an area where a lot is going on. There is a lot of momentum. What we should be discussing is what do we want to do from here. What are our opportunities, and how can we play a constructive role in moving this field forward and getting better understanding of the value of genetic and genomic testing in clinical practice.

I will open it up to our panel and to all of you. Dr. James Evans, I knew I could count on you.

DR. EVANS: Marc addressed this, to some

extent. I was wondering whether anybody wants to pitch in on where we go once we have shown with comparative effectiveness research that something is better. We are all too familiar in medicine with the old adage that doctors aren't really trainable. We know what to do in many cases and yet it isn't done very often. What do you think are the best ways of making sure those things are adopted?

DR. WILLIAMS: I will take the first shot at that because it is something that our system has really specialized in. I think that doctors aren't educable. I think they are trainable. There is a subtle but important distinction there which is probably of greater humor to those of us that grew up in the dysmorphology world.

The reality is that there are several things that have to come together to allow rapid translation into practice. One is the recognition that a problem exists. Second is the demonstration that there is a better way. The third is to understand, really, the biggest issue, which is the work flow and education pieces.

We know from physician post-graduate education that the traditional approaches to education have a very low level of effectiveness in terms of actually changing practice. Really, what you need to do is to present the relevant information to the physician immediately at the time that they have to make a decision, which is why you hear me continually harping on the idea of just-in-time, point-of-care education. I have to make a decision. I need to know what the best decision is.

A lot of the care guidelines and processes that we have running operate in our electronic health record environment under an info button. If a physician goes in to order a test, there is an info button that will present. If there is a relevant InterMountain guideline, the summary will pop up to them immediately. In real time, within seconds, they can get that piece of information that they need to hopefully make the right decision.

Also, with an electronic ordering environment, you can constrain certain decisions or request that certain additional information be presented. You can do that without suffering problems of alert fatigue relating

to the idea that every time you try and do anything you are alerted to something. We have seen that in the drug-drug interaction world. That has been a spectacular failure, for the most part. So you have to recognize that.

The second piece is really understanding how physicians do their work and integrating that at the proper time. If you can match the right information at the time that the physician needs to do that decision, I think that obligates the use, for the most part, of electronic health records. It can be done by paper, but it is much more complicated to do and it is much harder to disseminate it across a large system. If you can hit those two things, then you can get very rapid compliance very easily.

The third thing to recognize is that it is not always the doctors that are the key person in the process. For that discharge medication process it was the discharge nurse that was managing the discharge order set that was the key individual. We actually removed the doctor from the process there and were able to achieve the high level of compliance with demonstrable

improvements in morbidity and mortality.

DR. DALE: I have a couple of questions for Steve. I enjoyed your talk. I would be interested in your comments on how your Bayesian approach fits to analyze what is happening in Salt Lake City. Can it be analyzed in terms of group sizes, mathematics, and certainty of the answer?

The other question I have is, you mentioned some value associated with tissue banks. I would be interested in further comments about that.

DR. GOODMAN: I was on to that. I also wanted to answer Dr. Evans' question from my own perspective on it.

Obviously, the science of what makes doctors do what they do is very complicated. They always say if you want to understand the man, look at the child, or the woman. If we want to understand why doctors think the way they do, let's just look and see how they are educated, all the way back to the preclinical and undergraduate days. Virtually all the education is focused on basic biomedical processes. They have to take physics, chemistry, a whole host of sciences that none of

us actually remember. They don't have to take economics or statistics.

The fact is that physicians are not equipped to be lifelong learners. I'm in an elite academic center, and I can tell you our fellows and our faculty do not understand the literature that they read. They understand the biology of it. They understand the mechanisms. They do not understand the statistics. They don't have a fine sense of the weight of the evidence provided by the designs and the results. The same sort of judgment they have developed in the clinical setting they do not have for the very literature that they are supposed to learn from.

In some way, this is a profoundly different source of authority of knowledge in medicine that is not derived from knowing how things work in the individual patient. Physicians don't have access to it. To the extent that they are educated in the preclinical years, they are taught with a whole host of cues and models. As soon as they get out of that clinical epidemiology course, it is not important anymore. They go on the rounds. Are they called to account? No. Do they have

to read papers and do anything but spout what the conclusions are? Basically, no.

We see it in papers that are submitted by very high-level researchers. We see this throughout medicine.

This is not a language that they are familiar with in terms of incorporating it into their practice. They have to be taught on the back end, when it is hopeless. We have spent eight years acculturating them to a different source of authority.

I know it is being done. In fact, there was just a report that came out last week about premedical requirements and such. We are constantly trying to change the medical curriculum, but if we want to have one reason why doctors do what they do, let's see what we teach them.

It is too late in the process. Actually, I don't want to say that. We do train clinical fellows in this, but it takes years. It takes years. It can't be done in a short course. That is what I wanted to say. Do you want to add something to that, Harold, before I go on? You were raising your hand.

DR. SOX: No.

DR. GOODMAN: On the second question, even though I waved the magic Bayesian wand, I don't want it to appear like magic and that we can't do many of the things that I was saying could be done in this particular context using traditional methods. By far and away, the most important things are asking the right questions, setting up the right experiment, and everything you were talking about.

That said, it is conceivably possible that there are ways of incorporating Bayesian approaches to make them either more flexible or more powerful. You have to look at the guts of any particular experiment.

It is the information-sharing issue that is key. That can be brought to bear on that process. Maybe it could be made a continuous learning process where the experiment never formally, in a sense, ended but new protocols were brought in. In the same way that we have QI with a cyclical improvement, you could have, as I was describing, a cyclical experimentation process. There are examples of this that have been done.

I would always say that looking at any design through a more powerful and common sense methodology

might improve it. How much it could improve it is very, very hard to say. It could be 1 percent or a home run; I don't know. I do know the area that I highlighted is an area where there has been particularly high yield.

With respect to the tissue banks, it takes funding. I forgot to say when I listed my solutions multiple times that each of these the NIH has the power to ameliorate with more focused funding. When we have a five-year grant for a clinical trial where all funding ends for any clinical follow-up or support, maybe we should be thinking of a certain percentage that is maintained for every one and consolidated within the institution for doing long-term follow-up of many people who are enrolled in the clinical trials, where that is indicated.

You have to have, ideally, a centralized resource for the tissues. You have to have the linkage to the long-term outcomes. This is all part of a lot of the informatics work that is going on. You need support for patient contact for all these things. If the funding ends after five years, then, effectively, the information ends after five years.

This is being done in many domains right now piecemeal. I think it has to be taken on as a major national initiative to not squander the resources that we have put literally millions into building and then we let lie fallow.

DR. WILLIAMS: There is a really important point that Steve made there, and that is the idea of the continuous learning. It doesn't necessarily compartmentalize itself well into what we traditionally define as a research project. I think that is really critically important.

There is another protocol that we have developed on glucose management in the intensive care unit that we not only have gotten up and running in all of our different intensive care units but have also built on either a Web-based server or laptops. We have disseminated that to multidisciplinary investigators across the world. We have found that the protocol works basically in all of the different settings, irrespective of whether you are in Singapore, Salt Lake City, Boston, or wherever.

The other interesting thing is that we have

deployed that down into pediatric and neonatal intensive care units and have found that, essentially, the same algorithm works. That was heresy for me as a pediatrician, who was always taught that kids are not little adults. In this particular instance, in fact they are probably little adults, or maybe adults are big kids.

That type of knowledge can then be rapidly incorporated. It can be aggregated very rapidly. The key point there is that while we can get to that target level of glucose and we can reduce the variability around it, this research will not answer the question about what is the best target to treat to. There has been some recent evidence showing that much tighter control of glucose in fact may not be the best thing to do in an intensive care setting. We may need to relax that.

This type of research may not help to answer that specific question, since we based it on best evidence of what people were saying was the best to treat to.

DR. SOX: I have been a lifelong advocate of computer-based decision support, until I got to Annals and started to have a sense for what evidence base that

it works in looks like. It doesn't look really good. When I heard Marc's talk, I was totally dazzled.

I'm wondering, to try to answer your question of where do we go from here, how do we learn from the experience that you have had in a way that can be transmitted to other people in a way that they would find convincing for their setting. How, basically, do you get doctors to feel invested in decision support and want to pay attention to it?

DR. WILLIAMS: I think there are a couple of issues there. One is that Brent has established an advanced training program where he brings people in for a four-week course. Not only do you get the theory but you are actually required to bring a project to that course.

The Health Care Delivery Institute works with you to help to have a success. There is that training aspect to understand the theory behind this and to also understand the theory of how to actually deploy it.

What that course has led to is development within other institutions of satellite courses that are either institution-specific or regional. In some cases, with the example of the Cystic Fibrosis Foundation, they

said we think this is really important. We have huge variability in cystic fibrosis care. We are going to have everybody trained in this type of technique, and we are going to set up the measurement and collection system. If you want to be an accredited center, you must participate.

There have been a couple of excellent articles out of the CF Foundation that have shown some dramatic improvement in pulmonary and dietary management relating to this sharing.

One of the interesting things is that it creates an environment to share success. What you find is, when you begin to measure things, no one is the worst at everything, no one is the best at everything. There is variability. Some places that are worst in class are best in class in other areas. By sitting people together and talking about what works and what doesn't work, you can get a rapid learning environment. Then you also learn about what worked for deploying it and what didn't. That is a training perspective that I think has been, again, demonstrably successful.

The second issue relates to the barrier, I

think, of publication. Frank Davidoff has published a couple of articles relating to the work that he has done looking at methodologies and organization of papers around quality improvement. I think those are beginning to define the landscape around how we should be presenting this information so that others can begin to learn from successful experiences around this type of improvement activity.

DR. SOX: It occurs to me that with computers you have the opportunity to randomize within an institution different methods for getting people's attention, for example. Maybe we need to get Steve out there to collaborate with you on some Bayesian studies that would generate some generalizable knowledge that would find a ready home at a journal like *Annals of Internal Medicine*.

DR. WILLIAMS: Yes, I would agree with that. I think that there are ways to do it. There was an example in pharmacogenomics where a children's hospital was offering a range of pharmacogenomic tests for inpatients that were going to be treated with medications. What they did was they had genotyped all of the patients.

Then they actually looked at the medications that were used and assigned whether they thought it was a good match or a poor match based on the type of medication and dose. They found that there were significant differences in things like length of stay, restraints and holds, and adverse drug events.

They created a system which the physician could go into when they ordered medication. The system would say, this could be benefitted by a pharmacogenomic test.

Do you want to do the test or not, yes/no. If you use that yes/no decision tree, you are now generating your prospective cohort. It is not in a randomized fashion, but you have a real-world trial where you can then measure your outcomes of interest, your length of stay, your restraints and holds, and your adverse events, based on did we follow the instruction or did we not follow the instruction.

I think that type of a process would lend itself to the type of analysis that Steve presented. I think that is a really intriguing idea.

DR. TEUTSCH: I think what you are describing is why culture and systems are so important. We often

talk about research-based practice, where we get this data and then try to apply it, as opposed to practice-based research, which means that we actually learn from that system. Gwen was next.

MS. DARIEN: I actually just wanted to go back to Steve Goodman's presentation and comment. I think that one of the things that is critical to research is that people participate in it. I-SPY I know a lot about because one of my friends is leading the advocacy group on that.

The Bayesian approach is a design that appeals so much to advocates and patient advocates and those people that are actually going to go out there to help these trials accrue precisely because it is adaptive. I just want to reinforce the fact that research needs people. Participation in research, particularly cancer clinical trials, which I know the most about, is incredibly low.

The other thing that I think works about this trial is the collaboration across the different stakeholders. I would just make sure that we include that.

DR. SOX: I would be interested in your comments about how CER could be structured so that patients felt as if they were part of the game and that participation was an opportunity instead of something to be avoided.

MS. DARIEN: I think one of the most important things to patients and why the I-SPY trial and some of these adaptive trials work really well is what you were talking about in terms of asking the right questions. The right questions have to be questions that matter to patients and patient outcomes like quality of life. The questions have to be matched with their values. I think that is a really critical thing, and I think that is why the adaptive trials really appeal to people. They understand that you don't just go in with something that is fixed and you can adapt it as you are going along and as you are learning.

I think it is pretty horrifying to think that doctors aren't necessarily good lifetime learners because we want to think that they are.

I think the other aspect of the I-SPY trial that is a great precedent for other trials -- and I have

been involved in a number of things -- is that all of the stakeholders have been involved from the very beginning.

If you want patients to buy into it, then you have to talk to the patients about it. You have to bring the patients into it from the beginning.

I-SPY1 had quite a number of MRIs and biopsies, but patients were brought in in the beginning to help design the decision-making tools and the education tools in order to communicate to the patients. That had an incredibly high retention level of people in the trials, and an incredibly high accrual rate.

I think that there are different ways of bringing people in in the beginning. I think that everybody wants to know the drugs or the protocols that they are given are effective. They also want to know that they are an improvement and that there is a learning process and that there is progress. I think that those are two ways to bring patients together, but I think there are, obviously, many more.

DR. TEUTSCH: Marc, let me get a couple of other people into the conversation for a minute.

DR. WILLIAMS: Even I have something very

specific about patient involvement?

DR. TEUTSCH: Twenty seconds.

DR. WILLIAMS: The other place that I see, particularly specific to genomics, relates to a dilemma that has appeared about adverse events versus efficacy. I think that we have overly focused in pharmacogenomic research, particularly in the oncology realm, around the adverse events. If you take the UGT-1A1 EGAPP report, for example, there appears to be some evidence for increased efficacy, actually, in the patients that have the polymorphism.

If I were going to study that, I would be very interested and engaged in the patient set. What is more important to you, avoidance of these adverse events which are going to occur or eradication of the tumor. When I read that paper, I said, I would want a higher dose than the standard dose here because I'm willing to accept a higher adverse event rate. That is another place, I think, to engage.

DR. TEUTSCH: What are the important outcomes. What really matters.

DR. WILLIAMS: Then they can measure their own

outcomes.

DR. TEUTSCH: Andrea.

DR. FERREIRA-GONZALEZ: You may want to take some follow-up questions because I have a different issue.

DR. TEUTSCH: We just have a few moments. What I would really like to do is get different issues on the table here. One of the options that we have going forward, having identified some of these salient things, each of which we could devote a long time to, is to figure out where we want to go next. We have issues here and I would like to hear what others are.

One of the things we hear a lot in this field is if you personalize things it is going to be hard to do it in a comparative effectiveness world. Is that an issue that we should be going down. There are issues of disparities that we know are important. How does that play out in genomics and in this field in general.

I would like to get some of those issues on the table here so we can figure out if there are some areas that we want to carry on. So it is fine to carry on with a different topic.

DR. FERREIRA-GONZALEZ: It is not so much of a question but a statement. As I continue to read about comparative effectiveness research and look at the different ideas that are being proposed on where you might be selecting different patients by genomic technologies and results of testing, we need to be cognitive that different technologies work differently.

One example could be of the clinical trials that you mentioned for breast cancer patients, the HER2-neu. If you do testing for HER2-neu identification by immunohistochemistry versus another method, you might have a different result.

I haven't heard anything, or read even, about the role or research needed on comparative effectiveness on some of these genomic technologies to be able to really focus on where you are going to be selecting the patient population.

With that also, as we continue to look at these types of studies where you are selecting patient populations or a group of individuals to go one or another route by using a diagnostic test or some genomic test, actually these tests should be done under the

highest quality. Each test has a clinical validity and an analytical validity.

I haven't heard anybody talk about doing this in CLIA-certified laboratories. As we go more into the genomics area, some of these tests might not really be available in a large number of CLIA-certified laboratories. This could be a very important issue, that we assure that the quality of the testing output to be selective in these different areas is of the best quality and done under certification.

The other issue that I was struck by is the amount of money that is being pushed and the need for infrastructure. Money is being given by NIH, AHRQ, and HHS to look at funding and comparative effectiveness research. We need to have coordination and maybe more transparency for the public on what different clinical trials are being used or what research is being used.

We could have a publicly available clearinghouse website of what is being funded and what are the results of what is being funded so we can come back and say this has already been done or this particular question has not been addressed. Maybe we

could have something similar to the ClinicalTrials.gov website where that information can be assessed. I think this is a topic that I haven't heard discussed that I see as very important to this issue.

With the issue of the tissue banking, I think the quality of the specimen that is put in, not just the clinical information and the follow-up, is of huge importance. You might have the clinical information, but if the tissue is not appropriately stored or obtained, the data is going to be very skewed.

These are some issues of infrastructure that need to be dealt with or thought about before we dive into this type of comparative effectiveness research to make sure that the data we get out we can really rapidly translate into practice.

DR. GOODMAN: I'm determined to give space to Gervaneet here to jump in, but I want to answer two things. First, I didn't go into nearly all the details of the I-SPY trial, but they are looking at exactly that issue of how HER2 is measured. They are measuring it three different ways, and they plan to shift from the immunohistochemistry model to the other technologies if

they prove to be more predictive. That is embedded within it. They are doing that with several other biomarkers, as well. They are measuring them several different ways. That is part of the validation and improvement.

With regard to the tissue bank, I couldn't agree more. Everything I mentioned will require serious thought about how to create databases that will be usable 20 years later when they are called upon with new technologies.

DR. RANDHAWA: I just wanted to mention a few things. One, since we are talking about clearinghouses, there is always this challenge about information and quality improvement activities and how much of it gets published in peer-reviewed literature. AHRQ has started an innovations exchange clearinghouse for exactly that same purpose, so that we can share innovations and other people can learn from that. It just started taking in applications, and I can send you that link.

The second AHRQ activity is one we have funded more on the learning health care and practice-based research. It is the Distributed Research Network. There

were two different models that we funded, but one of them is actually looking at how different primary care practices who want to benchmark how they are doing and compare each other and learn from each other can, independent of whatever EMR vendor and software they have, exchange that information. It can also be used for outcomes research.

The third part is the clinical decision support tools that I had mentioned before for BRCA testing. There will be an involvement of the patient in terms of getting the family history as well as shared decision-making with the provider. I think we will be learning something from that project.

DR. SOX: I wanted to seize on one aspect of your question, which was trying to achieve transparency as much as possible so that the public really understands what is happening. I'm in favor of that, except for one part that I'm a little worried about, and that is the research results themselves.

Steve, pay attention because I'm going to ask you a question.

Right now, I'm a strong advocate of journals

and the processes that they go through to make sure that work is done according to good statistical practices and that the language that is used is transparent and isn't biased or slanted. Therefore, I wouldn't want to see research results published until they go through a process like that. I'm very old-fashioned.

Steve, what I'm wondering is whether there could be a time in which, with the appropriate design of research, perhaps particularly adaptive trials, we could skip the journal part. In other words, things would be done in such a way that the role for journals would be reduced perhaps to editing reviews of subjects like that.

Do you think there will always be a call for journals?

Steve, by the way, is the editor of *Controlled Clinical Trials*, so he is an expert on this.

DR. GOODMAN: *Clinical Trials*. *Controlled Clinical Trials* doesn't exist anymore.

I think we are always going to need impartial arbiters of the science. My favorite quote on this was from Jan van den Broek, who gave a talk at I think it was the 50th anniversary of *The Lancet*. He said, this fantasy that we could have results just poured onto the

Internet is just that. If we started doing that, I think the quote was -- and this wasn't from Yogi Berra or Mark Twain -- something about how an enterprising band of young scientists would get together to vet the research and organize and deliver it to scientists so that the journal system would be immediately reformed.

I think that the independent oversight and review of research will have to be retained. I think researchers themselves, both because of training and because of inherent intellectual conflicts of interest, aren't always the best judges of their own work. I would just leave it at that.

DR. FERREIRA-GONZALEZ: I don't think I was talking about putting all the results but what is being done. It is also important to realize that some things are not published. Negative findings are not very publishable, but they are extremely powerful so we don't go down the same road. How do we deal with that?

DR. GOODMAN: As you said, a lot of this is being done in ClinicalTrials.gov. There are also several international efforts by WHO and some others devoted to developing standards for reporting results of research.

It is starting at the RCT stage because those are the most structured ways we have of doing and reporting experiments. To what extent this can be extended to all research or other forms of research I think is a really complex technical challenge. Even with RCTs, it is very, very difficult to know what do you put out there, what do you put out there vetted or not vetted. Do you put analyzed data.

A lot of groups are struggling with this, but it is very much a subject of international collaboration and activity as we speak.

DR. SOX: Just knowing that the research exists, that somebody tried, can help a lot.

DR. GOODMAN: Right. That is what ClinicalTrials.gov does, at least in the clinical trials domain.

DR. SOX: You don't have to have the results to know a lot more about what the body of evidence might look like if every trial was in it was registered.

DR. FERREIRA-GONZALEZ: There are also the negative findings that don't make it to peer-reviewed literature. I don't have an answer to this. It is very

important that investigators know, and I don't have an answer how to do this.

DR. GOODMAN: That is what clinical trial registration does.

DR. FERREIRA-GONZALEZ: The registration, but there are no results or anything of the negative.

DR. GOODMAN: That is the beginning of being able to go back to the company or the investigator. You know what the denominator is. The results may or may not be there, but in theory you can go ask them.

DR. TEUTSCH: Some should be on ClinicalTrials.gov, which doesn't include genetic tests, as far as I know.

DR. GOODMAN: Right. No, it doesn't.

MS. WALCOFF: Thank you all. I think this has been a great discussion. I just want to bring it back to Gervaneet and Steve. In both of your slide sets you included a page on solutions and future steps. I actually thought it might be very helpful for this group to talk about that for a moment. If each of you could suggest one thing that the Department of Health and Human Services could do in this area to forward these

approaches, whether it is eliminating regulatory barriers at FDA, or consolidating or linking up the innovation clearinghouse that Gervaneet talked about with the other databases that all the different departments and agencies are putting together, what would those things be?

DR. RANDHAWA: You go first.

MS. WALCOFF: You have sort of answered the question already.

DR. RANDHAWA: The only thing that I'm struggling with is what is my top priority. There are so many of them that are competing. I think the fundamental issue is an infrastructure that can get at what is happening in health care and learn from it. That would include informatics as well as better clinical data collection while maintaining the privacy and confidentiality of patient information. That would be my Priority No. 1.

DR. GOODMAN: I don't know that I actually have anything to add over what I said. I guess it is two pieces. One is to create sources databases from past experimentation that allow us to test current hypotheses as quickly as we can and to reserve the prospective

component only for those questions that absolutely can't be answered to a sufficient extent with adequate past data.

We already don't have adequate past data, so we have to look forward and start creating our past in real time. In terms of HHS, I think what I mentioned before is thinking about how to formally support the increasing longevity of the data that we gather in the context of clinical research, with RCTs being the natural first place because it is the highest quality and the most structured. That, in a sense, offers the biggest bang for the least buck.

Secondly, going forward, focusing on the resources, which include development of informatics pieces, the tissue storage, long-term follow-up, everything that is involved in using methodologies that will get us answers a bit more quickly and more efficiently. As I said, right now everything is built almost from scratch for each trial. We need to increase the resources available for the development of the software, the training, the informatics backbone, all these things. I guess that would be how I would

summarize it.

DR. SOX: If I had the Secretary's ear, I would be urging her to make a really serious effort at coordinating CER across the different agencies of HHS, as well as the VA and the Department of Defense, so that outcome measures are standardized using the instruments that are widely available and validated, so that as much as possible we end up with research that can be compared even though the funding agency may be a different one.

In addition, as much as possible, promoting collaboration between agencies and funding research on high-priority questions and conditions. A serious effort at coordination.

DR. GOODMAN: One footnote to that is -- and this is something that is to some extent a priority already, I think -- doing everything they can to enable the extension of this research into community research networks. Most patients are not seen in academic centers. This is being done, again, piecemeal on a disease-by-disease basis, but we have to bring in the community practitioners if we are going to do CER or, really, almost any research that requires substantial

numbers and answers practical questions faced by doctors where they basically currently are.

DR. TEUTSCH: This has been a great discussion.

We are now at the point where we have to figure out what we are going to do from here. Is there a role for all of us. I have heard a lot of good issues.

We had early discussions that said we need the evidence before we can actually move some of these genetic tests forward into practice, so it seems like this is a critical issue for us. I have heard issues that are surrounding what are the studies and the study designs, how do we encourage that, how do we build the right infrastructure, whether it is laboratories or biobanks or standards and metrics. I have heard, how do we move into a learning health care system, how do we engage patients and consumers into doing things that matter to them so that we can build this enterprise.

There are some issues that relate to the fact that what we are talking about is a very rapidly moving field and the issues of personalization. There is a general sense I think I hear occasionally, not that I buy into it, that there is some dichotomy between

personalized health care and the information that comes out of comparative studies because they are more population level. I think we can make those things work.

I think there are a bunch of issues here. The question is, are there some of these that we are well positioned to take on and work through. The proposal I would like to put on the table for you to consider, since I doubt we will be able to get to anything like a scope of work right now, is that we actually form a small group to sort through the issues and bring back to us next time a distilled and considered list of things that we could do and some recommendations about whether we should go forward with some of this work and some ideas on the scope of work.

DR. WILLIAMS: If I could add two things to the list that may also help to focus this. I look at this, we clearly have to look at it through the lens of genetics, health, and society. At the present time, we don't know what the IOM report is going to look like and what their prioritization is going to be. That will be forthcoming.

The second issue is that we will presumably

have the round of funding announcements from the first round of the Recovery Act grants. I think that is in September that that comes out. I don't know whether it is even possible -- Michael could probably answer if he were here, or maybe Alan can -- whether we could somehow get a list of at least the general pots of funding to see what we might consider to be in the genetics and personalized medicine realm that was actually funded in the first round.

That would also give us an idea to say are there priority areas that we have identified previously that in fact somehow escaped being funded in this first round. That could also help to formulate where we are going. Those would be the two things I would add to the list.

DR. TEUTSCH: I didn't mean to make this a fixed list, either. Having heard this discussion, knowing the documents that we have done previously, I think the group could tease out whether there is an agenda for us.

DR. GOODMAN: Could I make one comment? The word caBIG wasn't mentioned here, but the experience

there is interesting. Of course, caBIG stands for Cancer Bioinformatics Grid. It was a monstrously ambitious effort which I think everybody is fond of deriding, but it has made, although much slower than I think they envisioned, real progress. Where the progress is, is not in the tools themselves but in the standards, in getting people to talk to each other, which relates to what Hal said.

You could think on your agenda of what standards there could be in the domain of genetic testing that would enable both sharing of information and establishment of quality standards. I wouldn't even know where to start.

I don't think that is where they thought the bang was going to be. They thought it was going to be in all the bioinformatics tools. Ultimately, many people are building their own tools but to those standards. It is like the iPhone model. They unleashed this huge marketplace appeal. People are building applications using a common set of standards.

I don't know that anybody can dictate what those tools could or should be, but if you have a set of

standards that they have to meet, you will move things forward.

MS. WALCOFF: I was just going to say on dictating that that is one thing the federal government can do with respect to federal money. If you are going to give out grants in this area, you can dictate the standard, whatever it may be, be applied across the board for all such grants, whether it is how you file your information or what have you.

DR. TEUTSCH: If folks are okay with that, I would like to see some volunteers who could help pull all of these threads together and help us shape and bring back something.

MS. DARIEN: Do you need a patient voice?

DR. TEUTSCH: I would be delighted to have a patient voice, Gwen. Dr. Williams. Andrea brings a laboratory perspective. I think we will probably want to bring some of our federal partners into that.

DR. WILLIAMS: I would think, at the very minimum, Gurvaneet and someone from NIH.

DR. TEUTSCH: Can we start with that core group? Certainly Alberto, and Liz, since she is sitting

here.

If there are others just let us know. Marc, I know you have given a huge amount of time. Are you willing to help lead this enterprise? That would be great.

Let me again thank our terrific panelists, who are a superb group of folks.

[Applause.]

DR. TEUTSCH: We appreciate all the insights, direction, and leadership that you all provide. Hal, all the best with whatever comes next.

We will take a break for lunch. We will aim to be back here by 1:30 promptly because I know we are going to start losing folks and we have some reports to get in. Thanks, everyone. We will see you back at 1:30.

[Lunch recess taken at 12:51 p.m.]

+ + +

AFTERNOON SESSION

[Reconvened at 1:35 p.m.]

DR. TEUTSCH: We have several updates on federal activities related to our work. The first of those presentations we have from our colleagues at CMS, where of course many of the issues around reimbursement and coverage have been of longstanding interest to us. This committee made a number of recommendations, and it has been really gratifying to see how responsive CMS has been to those recommendations.

There have recently been two meetings that have been held with the MEDCAC that relate specifically to genetics and genomics. Jeff Roche, who is a regular here as a liaison with us, has played an instrumental role in all of that. Jeff is a physician with CMS.

We look forward to hearing what has been going on. Some of it I may have a clue about.

FEDERAL ACTIVITIES RELATED TO GENETICS/GENOMICS

Report from the CMS on Evidentiary Standards for Coverage Decisions on Genetic Tests

Jeffrey Roche, M.D., M.P.H.

[PowerPoint presentation.]

DR. ROCHE: Steve, thank you very much. Again, I would like to just summarize some of the recent MEDCAC meetings. MEDCAC is a way that CMS has of asking for input from a variety of learned clinicians, other agencies in government, and those who represent patient advocacy groups and industry, to help Medicare understand the things that are important. When we decide coverage for a certain device or service, we like to have evidence that we can provide for it.

These meetings, which were held in the last four months, have specifically focused on genetic testing. That was by design. I would like to just very briefly talk about some of the things that were done.

I appreciate the gentleman who is going to advance to the next slide. Thank you, sir.

The first of the two meetings, in February, was on diagnostic uses of genetic testing, which, as CMS asked for, would focus on what qualities or characteristics of evidence would be desirable for Medicare to use in determining whether genetic testing as a laboratory diagnostic service improves health outcomes. Again, that is part of our role.

As part of the Medicare orientation of this, we asked the MEDCAC panel members to look at this definition of diagnostic genetic testing. That is, the use of providing information to make decisions about patients with an illness or other condition which was under treatment.

Now, we felt that there were several different aspects of it. Because of the different types of evidence that might be involved, we asked the panelists also to look at diagnostic uses, or what is the illness present; prognostic uses, or what is the likely outcome or total burden of the disease that is present; and finally, what about tests that help physicians assess the response to therapy.

Next slide, please. We tried to give the committee some examples of the uses of diagnostic genetic testing. Again, these were published in the Federal Register about a month before the meeting, and we did try to supplement these with some of what we thought were the more valuable pieces of evidence in the literature.

Again, our role there was to listen. We were very grateful that the MEDCAC members provided us with

some very good suggestions.

Next slide, please. In addition, we were lucky enough to have some very good guest speakers. Tom Trikalinos is a researcher at the Tufts-New England Medical Center Evidence-Based Practice Center who had performed, with his colleagues, a technology assessment on genetic testing. We also had Dr. Ralph Coates, who is the associate director for science at the Office of Public Health Genomics at the Centers for Disease Control and Prevention. Both of these were very helpful to the panelists. We had a lot of very positive responses from both of their presentations.

Next slide, please. Thank you. One of the things the committee heard about was the EGAPP ACCE criteria addressing some of the qualities or facets of evidence, as you can see on the slide. This turned out to be what the panel felt was a useful framework for looking at the variety of different evidence to address what was the value of genetic testing in diagnostic situations.

Next slide, please. We also were very impressed with the EGAPP working group's methods -- I

would certainly invite Steve to add any comments he would have there, since I know he is very familiar with these -- in looking at questions which are very similar to the ones Medicare asks. We have to ask, for example, what is the evidence that adults with nonpsychotic depression entering therapy with SSRI-type antidepressants actually benefit from CYP450 genotype testing.

It turns out that EGAPP as a working group has addressed all of these issues in a very approachable way and has laid out some very good ground rules for doing so. We were very grateful to know that EGAPP is working on not only these but a number of other important decisions about the use of genetic testing in certain diagnostic situations.

Next slide, please. Our usual practice at CMS is to ask the panel specific questions that relate to some of the things that we consider when we are looking at the evidence for Medicare coverage of diagnostic testing. Now, diagnostic testing is already covered to some extent under the Medicare program. One of the first questions we wanted to find out was, are the desirable characteristics of evidence for diagnostic genetic

testing different from those of diagnostic testing in general.

As the slide indicates, the MEDCAC's response was no. The evidence should be rigorous for any diagnostic test. They suggested that we consider the EGAPP ACCE criteria as a series of very useful questions as we try to lay out and sort the evidence. Finally, the public is well served by robust evidence about diagnostic genomic testing, including evidence of its harms as well as its benefits, especially in the elderly population.

Again, these are questions posed about a month in advance, published in the Federal Register, and sent to all of the panel members for their consideration in advance.

The second question that we posed to the MEDCAC panel was, what are the desirable characteristics of evidence for determining analytical validity of diagnostic genetic tests. Again, the MEDCAC responded that the EGAPP framework provided a number of specific areas on which evidence could be constructed to determine the validity of these types of tests.

The third question, again in the February

meeting, was whether there were meaningful differences in the types of evidence about the use of diagnostic genetic testing in terms of those three major uses: diagnostic, prognostic, or pharmacogenomic assessment. That is, the use to determine the proper choice or the best choice of drug therapy for a patient's illness. MEDCAC's consensus was as noted there.

By the way, I should mention that the transcript of this meeting is available through the CMS website. Unfortunately, the May 2009 meeting, which is the second part of my brief talk, is not yet available, but once the transcript is finished and reviewed by CMS, we will go ahead and post that, as well.

There was one difference, perhaps, and that was in pharmacogenomic assessment. In this particular situation you are dealing with a three-part linkage: the linkage between the genome, the disease, and the drug.

In passing, I note that the proposed decision memo on Warfarin dosing and genomic testing does actually look at all three of those areas, but again, we have recently finished the public comment period. We have received additional public comments suggesting evidence

that we should also consider before we make the final decision on that, which will be in roughly two months.

Next slide, please. Now, the other kind of evidence that we look at is evidence about changes in outcome. In this question, Question No. 4 of the February MEDCAC, we looked at three different types. The first one was essentially does the physician change his or her choice of treatment based on the genomic test results. Second, are outcomes that affect indirect health care outcomes -- for example, a change in a lab result -- appropriate to infer that diagnostic genetic testing is effective for the patient. Finally, what about direct health care outcomes like mortality or the incidence of adverse events.

Next slide, please. Again, the MEDCAC was asked to vote on this, and as you can see from their votes, they felt that the highest confidence could be placed in studies in which the outcome reflected a direct health care outcome such as mortality. Again, the voting scale in this question went from one, showing low confidence, to five, indicating high confidence.

Perhaps interestingly, there is a little bit of

a response curve there. Indirect health care outcome was rated as fair in terms of outcome, whereas change in management -- that is, physician management decisions -- was rated a little lower than either of the other two.

Next slide, please. The fifth and sixth questions, which are similar in both the February and the May 2009 meetings, first addressed whether ethical issues pertaining to genetic testing should alter, limit, or somewhat loosen the methodologic rigor of studies on genetic testing. The MEDCAC responded that in fact methodologic rigor contributes to ethical rigor of such studies and a lower methodologic standard might detract from ethical generation of evidence for GD, since it might tend to lead to additional harms in the population being served.

The sixth question was whether the age of the Medicare beneficiary population was a particular challenge for researchers looking at the uses of diagnostic genetic testing in the population. The panel noticed two possible considerations that researchers should take into account. The first is that there is a rarity of mendelian single gene disorders in the Medicare

beneficiary population in a very general sense.

The second is that the challenges in that population may be to eliminate confounding factors that might be due, for example, to polypharmacy, to multiple comorbidities, or competing causes of death that affect this population more than other population subgroups.

Next slide. Thank you. In summary of the February meeting, the expectations that Medicare at least was advised to have in this meeting for diagnostic genetic testing, should be at least as high as the expectations we have for other diagnostic technologies. There is an ethical imperative which requires rigorous evidence when we make such decisions, especially because of the consequences for the more than 40 million Medicare beneficiaries that potentially could be affected by these decisions.

Finally, the clinical context of such decisions is an extremely important consideration that we should always be very much aware of. We should make such decisions in very close concert with professional societies and other groups that recommend leading opinion, backed, of course, by evidence about the effects

of these things.

Next slide, please. In May, just about five weeks ago this week, we convened another group on screening uses of genetic testing. This meeting focused on the desirable characteristics of evidence needed to evaluate screening genetic tests for Medicare coverage. Our question basically was, does this improve health outcomes for the Medicare population by detecting a disease in a person who has no signs or symptoms of that disease, for example as shown on the slide.

Next slide, please. Now, in current law under Medicare Part B, there are, as people may know, under Section 1862, a number of specific exemptions from the general rule about reasonable and necessary for diagnosis and treatment which allow for screening of particular preventive service benefits, including some of these screening tests shown here, not only for cancers but for complex and relatively common chronic diseases like diabetes.

Can we skip through the next four slides, please? We were lucky at this point, and people may notice a few familiar names there, to have two excellent

guest speakers. One was Greg Feero from the National Human Genome Research Institute. The other was Steve Teutsch, who of course is well known to many of us here.

He was kind enough to come out and actually talk with us about some of the screening genetic testing applications that EGAPP has considered.

Next slide, please. In particular, Steve was kind enough to go through a very careful discussion of the EGAPP method as it looked at screening techniques, not just diagnostic techniques. I think Steve made a very important point which was picked up by many of the other panel members afterwards, which was that screening using genetic tests has to be looked at not only for the potential benefit for those who are affected but the potential harms to those who actually do not carry a particular genetic marker but, because of testing uncertainty or testing mistakes, could be exposed to harms of additional testing. Again, Steve suggested the importance of a balanced approach between benefit and harm in looking at outcomes.

Next slide, please. Now, during the discussion, the panel was also informed that some of our

partner agencies like AHRQ have done a number of technology assessments or specialized studies on both screening testing effectiveness as well as cost effectiveness of both stool DNA testing and CT colonography. So, the idea of doing technology reviews for both effectiveness and cost effectiveness of screening genetic technology is not a new one.

The first two questions were similar to those we posed in February. Are there differences that govern screening genetic tests versus those that we require of screening tests in general. Second, what are the desirable characteristics of evidence that they are analytically valid, that we are measuring what we expect to be measuring.

The third question was a bit different. What we wanted to do was to look at the two major paradigms for which Medicare has, by law, looked at screening testing with favor. That is, can it improve patient outcomes by detecting a disease early in an asymptomatic person and can it improve patient outcomes by treating a disease early, before signs and symptoms are apparent.

The following question reflects the fact that

genetic testing is, in some ways, replacing earlier screening paradigms, like fecal occult blood testing. We were interested to look at coverage decision effects of comparative data that we would need to make sure that there was evidence that the alternative strategies for screening were really less effective than the genetic testing strategies.

Next slide. Thank you. In addition, we did ask the panel to vote on the use of different types of outcomes. Here again, one of the outcomes that the panel decided not to vote on was that a genetic test used for screening purposes might provoke additional confirmatory diagnostic procedures. The panel didn't think that was a reasonable outcome to look at, at all.

However, the panel did clearly indicate that they would have a high confidence that a screening genetic test was effective if it improved survival, and a moderate degree of confidence, actually not very different from the one for survival, that a screening genetic test would improve other patient-focused health outcomes, especially functional status, or would decrease the incidence of adverse events.

Now, the fifth and sixth questions were focused on some of the new authority that HHS may have in looking at cost effectiveness. As you may know, in the Medicare Improvements for Patients and Providers Act of 2008, MIPPA 2008, some of the language in Section 101 indicates the Secretary may look at assessments of the relationship between the benefits of certain new preventive services and the expenditures involved in those services. The panel was asked to rank for these specific examples of screening genetic tests what types of outcomes would be most favorable or most desirable in terms of genetic testing coverage.

In the second of these two questions, Question No. 6, we asked the panel what were the desirable methodologic characteristics of cost effectiveness studies, which would help provide evidence that screening genetic tests were indeed preventing or detecting early either illnesses or disabilities.

Next slide, please. These votes were on a different scale than the prior two tables. Votes go from one to three here. One is a less desirable measure, and three is a more desirable measure. It looks like there

isn't that much difference between qualities, quality-adjusted life-years, or decreases in incidence of illness, disability, or net gains in other patient-focused health care outcomes.

Next slide, please. Finally, the last two questions that I mentioned were pretty similar to the ones posed in February.

Next slide, please. In summary, the MEDCAC panel in May again suggested that we should have high expectations for the evidence used to suggest that screening uses of genetic testing were appropriate for Medicare coverage. We thought, in view of the large number of people who might be at risk from insufficiently investigated screening genetic tests, that rigorous evidence was entirely justified. There is a very important role in considering evidence both of harms and benefits from screening genetic tests and looking at either quality-adjusted life-years or decreased incidence of disease as preferred study outcomes.

The panel really was not interested in looking at outcomes that suggested, for example, that the lifetime cost of an illness would be decreased due to

screening genetic testing.

Next slide, please. Again, I want to thank both Steve and Greg and some of our other expert presenters who were kind enough to come to CMS for the purpose of these two meetings. Certainly, I would be happy to answer any questions very briefly, as I know time is pressing. Thank you.

Question-and-Answer Session

DR. TEUTSCH: Great. Thanks, Jeff. Thanks for holding those meetings because these are exactly the kinds of things that this committee wanted to have happen. Not only are we grateful, but it was very productive. Marc.

DR. WILLIAMS: The question I have for you, Jeff, is about the comments and the discussion about the single-gene mendelian disorders. I'm just curious if there was any discussion about two populations that Medicare is responsible for. One is the adult disabled population. A fair number of those are related to more traditional genetic disorders, some of which are single-gene. Then there is the end-stage renal disease population, where, again, there are a lot of highly

penetrant genetic disorders that are relevant.

DR. ROCHE: Marc, thank you. That is an excellent clarifying comment. I agree with you that, in those two populations especially and perhaps more generally, as we understand the neurodegenerative diseases we will find that Medicare has indeed the need to look at evidence relating to these specific applications.

I think when that comes about and we shift focus a little bit from the core beneficiary population, people 65 years and older, we would be looking for specifically those based on some of the evidentiary guidelines. I wouldn't call them criteria at the moment, but some of the suggestions and indications of where the value would be in terms of what will actually improve outcomes for our beneficiaries that the MEDCACs have helped us to better understand. Thank you. You are quite right.

DR. TEUTSCH: Thank you, Jeff. We always appreciate it. Thanks to you and Barry for regular attendance here and your participation in all these discussions.

Greg, I see you back there. Since we just introduced you yesterday, I assume most of us have a sufficient memory of who you are and where you are going, but we would like to welcome you back. In fact, you actually had a nice introduction from Jeff. I think you will be reflecting again on what is going on with the family history tools and the upcoming State-of-the-Science Conference, which you also discussed with the MEDCAC group. Take it away.

**Updates Regarding Family History: Family History Tools
and NIH's Upcoming State-of-the-Science Conference**

William (Greg) Feero, M.D., Ph.D.

[PowerPoint presentation.]

DR. FEERO: As I was sitting back there, I was thinking to myself, I have the luxury this time of coming before you to talk about mom and apple pie subjects. No one says there doesn't need to be more education in genetics and genomics for health professionals, and no one says that family history is a terrible tool. Well, maybe there are a few. Maybe at the end of this you will think that it might not be the best tool.

I was asked to give an update on federal family

history activities. I'm going to speak from my perspective at the NIH. I apologize to any of the federal partners. I'm sure there are many that have family history activity that we were unable to capture. I checked in with HRSA and CDC, because I figured they would be chasing me down the road if I didn't say things about their activities, to make sure I accounted for some of them, but I suspect there are others. I know, in fact, the VA is actively engaged in family history activities, as well.

This is not the official NHGRI position on the subject, but it does in fact reflect some of the ambivalence right now about how to use the more recent discoveries around the genetics of common disease in the health care system. I think, as I put the next slide up, most people agree that this is probably true. Therefore, we ought to be focusing some ongoing attention on family history as a tool in health care moving forward if we are going to focus on preventive efforts, for example, and enhanced screening.

The American public in general agrees that this is true. I don't know if this has been presented to this

committee before. There was a recent study in Oregon through the BRFSS survey that showed that about 99 percent of people say that family history is important to their health. These numbers actually prove some early data from the CDC, where I think about one-third of folks had collected family history information. This survey suggested about two-thirds of folks had collected some information. The vast majority of them recognize that having a first-degree relative with diabetes, heart disease, or other disorders and common conditions increases their own risk of developing the disease.

In terms of the health care system, I think that family history falls nicely into a relatively well accepted position for use if you want to consider it a genetic test. Of course, we are all thinking we have seen this before, the one where I had this move over to here. We would like to get this closer to here, but for the time being, and I think for the foreseeable future, family history will be very important for risk assessment purposes.

Unlike a number of our genetic tests, the USPSTF has come out with guidelines that involve family

history. This is the one that is probably the most positive with regard to family history, but I made the argument at CMS that there are a variety of the USPSTF guidelines you can't use unless you have family history information. For example, if you look at the colorectal cancer screening guidelines, it says you can use this guideline unless there is a strong family history of colorectal cancer, in which case you have to use a different guideline.

There are the positive elements of the USPSTF guidelines around family history, and then there are the negative elements of needing to know it in order to apply the guidelines appropriately, which I think is important in helping to drive potential use of family history in the health care arena.

Some other things have happened in the recent past that make family history a more palatable tool. I think many folks have been concerned about family history information in the medical record. Of course, there remain concerns about how that might be used adversely, but at least some of these have been diminished by the passage of GINA, which we all know a lot about.

This is something that this group may not know so much about, but this past year the OCR, Office for Civil Rights, put out some guidance regarding HIPAA and family history. I would urge you all to take a look at this URL if you have not looked at it. It surprised me.

It suggests very much that family history can be treated as other health information in the medical record in terms of sharing, et cetera. In fact, you can, if the patient gives you their relatives' names, diseases, et cetera, transmit that information with the rest of the medical record and use it in an electronic health record environment freely, as long as it was obtained from the patient themselves.

I think we have a possible window of hope in the reimbursement arena with the MIPPA passage, which was alluded to earlier. I think we still have an uphill climb with regard to the Medicare population and the use of family history, but at least there may be some light at the end of the tunnel there.

On to federal activities, just as an update. Many of you are probably aware that the CDC over the last several years had a major trial of a family history tool

called Family Healthware. It was a Web-based consumer-focused tool that not only helped collect family history information but also provided the patients with some risk assessment feedback from that family history information around six common complex conditions.

The methodology for coming up with this tool was published just recently in Prevention of Chronic Disease. Paul Yun [ph] was the first author. I believe there were seven papers in the pipeline. Actually, there may now be five because of these two being published.

One of the first papers that came out looked essentially at the burden of disease risk in participants in the trial. There are some pretty remarkable data. Of the six diseases the tool assessed, 82 percent of the participants had a strong or moderate familial risk for at least one of the six diseases assessed. That is actually not all that shocking when we consider the six diseases assessed account for the vast majority of mortality in our country.

What I think is more interesting and has not yet come out is the fact that a subgroup was looked at in the Northwestern Health Care System where they compared

the electronic tool's ability to collect information on these disease risks to what was in the paper charts. About 23 percent of the paper charts actually had enough information in them around family history to assess the risk for those diseases. That really points out Marc's points about the need for enhanced point-of-care tools for this type of work.

Again, preliminary results suggest that the use of the tool may influence risk perception among users, but there are also a lot of paradoxical effects of putting this tool out that were noted. I think some of these things had to do with the population that ultimately was recruited into this study. They were already folks who were very attuned to their health. They were generally highly affluent and well educated. There were issues, I think, around ceiling effects, et cetera. You will obviously be reading more about it in the literature in the near future.

Other areas of development of evidence around the use of family history in the health care arena. In the last year or so, there have been a number of RFAs around translational genomics research that have included

family history projects put out by the CDC, the NCI, and the NIDDK.

If you have looked through the challenge grants listing, you will have noticed that there were at least one or two in there that involved family history and point-of-care tool development, for example.

If you look at NCI's Cancer Preventive Services Division's website, you will see that their priorities for 2009 include the following statement, which really bolsters the likelihood, I think, that folks will be thinking about integrating family history into population-based studies for disease risk as we move forward in preventing cancer.

In the area of evidence synthesis rather than evidence generation, AHRQ has been very active in the last couple of years. They have had two major reports published from their EPCs on the use of family history in the cancer arena. The first looked at the collection and use of cancer family history in primary care. The last and the most recently published, in April of '09, tried to address the issue of clinical utility of cancer family history.

This is where family history is on somewhat rocky ground. I think we all assume that there is a lot of face value and there must be a ton of literature supporting the use of family history. I would argue there is not a ton of literature supporting the use of stethoscopes in medicine as well.

In fact, this is actually just some sensitivity and specificity data around family history. You can look at this. I included a lot of data in the slides for you just to glance over. This is what the conclusions were around clinical utility of cancer family history collection in primary care. Essentially, there are very few evaluations of cancer risk prediction models. Those risk prediction models don't suggest a huge amount of individual predictive accuracy. We heard a little bit about that earlier today around genetic risk markers.

The experimental evidence base for primary and secondary cancer prevention based on the use of family history is actually very limited. Again, remember this is in the primary care setting, not in the specialty clinic setting, where there is a lot of literature around these things, or at least a fair amount of literature.

There is insufficient evidence to assess the effect of family history-based risk assessment on preventive behaviors. Of course, the CDC tools trial had just been completed at the time this report was being put together, so they didn't have any of that data. Also, there is insufficient evidence to assess whether family history-based personalized risk assessment directly causes adverse outcomes, which is a good thing, I guess.

Where are we going with this evidence synthesis around family history. In just a little while we are going to be having a major conference which is open to the public at the NIH. We are attempting to gather the entire realm of literature around the use of family history as a screening tool in the primary care setting into one place. Then, in the process of doing this State-of-the-Science Conference, we will be identifying where the gaps are and where perhaps research needs to be done to fill those gaps.

I invite you all to consider registering and attending. It is free. It is in Bethesda. It should be, I think, quite interesting. There will be 21 speakers, I believe, three of which will be from the EPC

that generated the large evidence-based review that will go into this. It will subsume and update those cancer reviews you just heard about as part of the process. Also, we have asked them to look beyond cancer to cardiovascular disease, diabetes, et cetera, some of the things that were not covered in those earlier evidence-based reviews.

Then there will be a large number of expert speakers on family history, running the gamut from the epidemiologic aspects of family history collection and how well it predicts risk, et cetera, to sociocultural aspects of family history and how it can be used in community settings to engage folks in discussion about disease prevention, et cetera. There is a URL for it in your slides if you are interested in registering.

So, what is the pragmatic approach around family history. I think in many of these discussions we immediately jump to the use of family history as a risk assessment tool in health care. In fact, family history does a lot more than just assess risk in health care. It allows people to get an idea of why the patient is in the room. It can inform differential diagnoses, et cetera.

No matter what our evidence base reviews show, I think it is very unlikely family history is suddenly going to be turned off in the minds of health care providers.

Given that it does serve lots of roles in addition to risk assessment, there has been a lot of effort focused on engaging communities around the use of family history. HRSA has had some really wonderful work done with the Genetic Alliance in the last year or so, creating tools for helping communities and individuals gather family history information and effectively share that with their health care providers.

The NHGRI has had some very interesting demonstration projects with diverse communities. This is an example of work with the South Central Foundation on a family history demonstration project that I think has been really quite wonderful. If you have a chance to go to the NHGRI website, I think the video is up that this group has produced around engaging their community on family history as a health tool. It is really wonderful stuff.

NHGRI has done a fair amount of work also with the Urban Appalachian Community in looking at how to use

family history effectively in that population. Again, it is very, very interesting work. It is a little older, I think, than the South Central Foundation work.

I don't know if Vence is still back there, but these last several projects came through the Education and Community Involvement Branch at NHGRI. They have also done some work around the National Council of La Raza and looking at using family history in that population. Again, that is quite interesting work.

The granddaddy project I think that I was really tasked to talk most about was what has been going on with the Surgeon General's Family History Tool. You probably know that in 2004 then Surgeon General Carmona, NHGRI, and others teamed up to introduce the Surgeon General's Family History Initiative, which was a push to increase both public and provider awareness about the possible use of family history in health care.

At that time, there was a first-generation Family History Tool created. It was then, I believe, Web-based, downloadable, and in paper format. It did a very nice job of collecting family history information, particularly focusing on the six same core disorders that

the CDC tool focused on. It recorded that information in both chart and pedigree form.

It was, however, a stand-alone tool. I think there were two major deficits with that tool. The first was that once a patient completed the tool at home, or a consumer completed it at home, there was this gap between getting that information from the user to the health care system where it could potentially provide benefit. An individual actually would have to print their family history out and hand-carry it in to their clinician. In an age of electronic health records, many of those clinicians didn't have anywhere to put that printout version. They would have to take the time to put it in.

You can imagine where a lot of those family histories might have ended up.

The other aspect of the tool was that it provided no immediate gratification for use in terms of providing consumers with some glimpse of what their family history actually meant to their health.

The first issue, I think, was the one that needed to be tackled first. In the last two years, folks in the Personalized Health Care Initiative under former

Secretary Leavitt, the Office of the Surgeon General, NHGRI, NCI, and a large team of federal and nonfederal partners got together and decided it was time to create a new version of this Web-based Surgeon General's tool that had the capability of connectivity to electronic health record and personal health record systems. You can see the list again in your slides of the folks that were involved in that.

The principle of creation of this new tool was that it would be standards-based to the best extent possible at the point in time the tool was being created.

Terminologies, for example, were done in SNOMED-CT. Anything that was numeric typically fell into the LOINC codes. We used the recently passed HL7 Family History Model for messaging out of this. The output of the tool was XML-based. I'm not an HIT person, but that is apparently compatible with a lot of HIT systems for bringing in data.

Unfortunately, as we started this process out, we recognized quite rapidly that there were a number of gaps in the existing standards that didn't allow you to easily deal with family history. One of those was

dealing with the fact that there was no clear core minimum data set that folks had identified for family history in EHRs and PHRs. We spent a tremendous amount of time coming up with this core minimum data set, which now has actually been published in the Journal of the American Medical Informatics Association and has been adopted by HITSP as an interoperability standard.

In January 2009, the new tool was actually completed and launched. The new tool is indeed standards-based. It is interoperable with a variety of different HIT platforms with a minimum amount of tweaking on either end. The tool now allows consumers the potential to share their family histories electronically in a way that doesn't necessitate this paper step in getting the information into the health care stream.

I will tell you that the tool now is available not only as a user tool but also for download. There has been a lot of interest by vendors. Northwestern's Health Care System has been working to make a seamless integration between this tool and a PHR environment and their EHR. We have been engaged in discussions with folks like Microsoft Health Vault about making their

systems compatible with taking information from the Surgeon General's Tool and migrating it to other health care applications. Doc Site has been engaged in conversations with us. A lot has happened with the use of the tool.

As I mentioned, the tool is now openly available. The source code is actually openly available for download. It can be downloaded and then customized.

Actually, the Surgeon General's moniker is scrubbed from the downloaded version so it can be completely embedded into a health system's information architecture and used freely.

The only criteria for use is that the base interoperability is preserved. If you build additions, the core data that the tool collects in your version has to be compatible with the old version of the tool.

The source code is available through NCI's GForge website. Ken Buetow has been heading up the NCI end of this project. If you have questions, I'm sure he would be happy to talk to you about that.

AHRQ also has some very interesting projects around clinical decision support and informatics around

the Family History Tool. I'm sure Gurvaneet can fill you in more on this, but there is an RTI project right now looking at creating a point-of-care family history tool for individuals to help them assess their risk of breast cancer by virtue of family history collection.

HRSA has recently announced a new project that will focus on improving tools and education around collecting family history in the maternal-child health environment. I think, and I hope, that all these tools will come together and be compatible with the Surgeon General's Tool over time so that folks can share information across these various federally-developed platforms.

Again, in the HRSA slide, there are bullet points you can read over about the goals of the HRSA project.

I think many of us are thinking about a next stop for my family health portrait. That is to begin the development of some very basic, open-source, interoperable risk modules for the tool that consumers could use on an if-they-want-to basis when they complete their family history on the Surgeon General's website.

We have started discussions around colorectal cancer, with the idea that any discussion about colorectal cancer, even for those people who are at baseline risk -- in other words, don't have any elevation of risk by virtue of their family history -- is a good thing if they come to their health care provider and talk to them about it because our colorectal cancer screening rates in general are subpar from where we would like them to be. As a bonus, we can begin to tease out some of those people who have elevated risk and perhaps should be in accelerated screening programs.

This is really just in the drawing stages. I can't even guarantee this is actually going to come to pass because, obviously, there are lots of potential barriers to creating this type of resource in a federal environment that is, I think, risk-averse, in general.

Conclusions. Family history is a versatile and potentially powerful tool for improving health care. You know that. I think you also know that family history will not be supplanted by genetic testing in the near future. I would argue that it will always be useful to contextualize essentially all forms of genetic testing,

even when you have sequence information. Family history will capture things in a shared environment that will not be fully supplanted by genomic information.

The evidence base clearly will need to be expanded. I think we will find lots of gaps in the State-of-the-Science Conference, but we are now on a trajectory where we can begin to think about how we fill those gaps effectively in a rational way.

There are many ongoing federal activities that both are working on expanding that evidence base and, at the same time, enhancing adoption, on the assumption that there will be clear utility for various portions, if not the overall picture of use of family history in health care. Thanks.

DR. TEUTSCH: Thanks, Greg. It has been a long haul. It is good to see all these products. Any comments or questions for Greg?

[No response.]

DR. TEUTSCH: It looks like you answered them all. Good luck in Maine. Think of us down here in the heat.

Our last update today is really a follow-on to

the discussion that we had yesterday with David Blumenthal, looking more closely at how genomic information is actually being incorporated into health information technology. We want to welcome two individuals, and I'm not sure exactly how you are going to format this.

Rebecca Kush is the chairman and CEO of CDISC, the Clinical Data Interchange Standards Consortium. Jessica Nadler is from AAAS and is a science and technology policy fellow there. They are going to talk about some of what is really happening in getting genetic information into the electronic standards world. Thank you for coming.

Health IT Standards to Support Clinical Research:

Combining Clinical and Genomics Data

Rebecca Kush, Ph.D.

[PowerPoint presentation.]

DR. KUSH: We are very pleased to be here today. I'm going to give the first part of this talk, and Jessica is going to give the part that is specific to genomics.

Our talk looks like this. We wanted to talk a

little bit about health care and clinical research and the ability of health IT to support clinical research. We also want to talk about a use case that we are doing with HITSP right now on a core clinical research set of data elements and then how we can combine that with clinical genomics data.

This is just to remind us all if we have forgotten, and I doubt that, that the fact is that health care informs clinical research, which in turn helps inform medical decisions. We spend a lot of money on medical research right now. The requirements for clinical research and for health care and clinical quality overlap substantially. What we need is to make sure that the standards that we are producing for clinical research and health care also are harmonized.

CDISC is specifically involved in clinical research standards development. We have been working with Health Level 7 since 2001 under a formal charter agreement to make sure that these standards are harmonized.

The caBIG project was mentioned earlier. One of the collaborative projects that we worked on was to

create a model that we call the BRIDG Model, the Biomedical Research Integrated Domain Group Model, but BRIDG is what means the most to all of us, which is to represent the context of clinical research in the Health Level 7 rim.

That was started in order to bring health care and clinical research closer together in the standards world. It is based upon that model. All of the caBIG tools and the vendors that create those are obligated to follow that and make it conform to BRIDG.

This is just a picture to remind us of what the world of clinical research data and clinical data looks like right now. We have basic clinical research going on a lot of times with an endpoint of publications, and then we have regulated clinical trials that oftentimes have data that go to the Food and Drug Administration or to other reviewers, like Data Safety Monitoring Boards and those types of reviewers.

Then we have the health care data. A lot of times, these are almost impossible to aggregate or put together. They exist in paper medical records a lot of times. Research is a lot of times in notebooks,

unfortunately. In clinical trials, we still have 50- to 60 percent of trials on paper. When it is collected electronically, it is collected by disparate systems with different requirements.

An average busy investigative site not only has notebooks of case report forms but they have an average of three different applications to collect data for their studies. When they enter the data into a medical record, whether it is electronic or paper, they then have to transcribe it into whatever other system they are using for clinical research. That obviously affects quality and time.

The fact is that the majority of investigators who do a study say, that is enough, I don't really want to do another research study. We are losing a lot of potential research data because people don't want to do the trials because they are too cumbersome. That is something we have been trying to address.

The objective is to then share this information at least in certain intersections, and that requires that we have standards. We need not only standards for transporting that data but content standards so that we

have true semantic interoperability. When one system delivers data to another, you need to be able to understand that data when the next system picks it up.

This is a model that we have been working under for the last few years. It was actually developed by Landon Bain, who has been working with CDISC. He has worked with IHE. He has worked with Level 7. He was the CIO at Duke. He was the CIO for a while at Ohio State. He has installed a number of medical records systems. We asked him to look at the clinical research environment and he said yes.

Duke's academic research environment collects paper case report form data. They go over to the Duke Medical Center, which hasn't pulled a paper chart in years, and have them fill out these paper forms.

We did a pilot there and tried to bring the forms into an electronic health record system so that the investigators working at their EHR could pull a case report form into their environment, complete the form for research, and continue their clinical care work at the same time and it was much more integrated into their work flow.

The idea is that right now you have a number of people working in different systems and entering data for public health, like outbreak reports, case report forms, safety reporting, and quality measures, into different systems or on different forms of paper or different ways to collect it. What we are trying to do is replace that environment with the EHRs as we roll them out. Assuming we have the right core data set and the right standards, we can start collecting that data within the EHR. These other computer systems and the transcription then disappear.

This is actually being done in a number of places. It is being done in a study in Georgia for clinical research. It is being used to report swine flu breakouts to CDC. It is now being used at Harvard Partners with Pfizer and Cerner to report adverse events to the FDA. The adverse event reporting time has gone down from 34 minutes to 30 seconds, which means it is actually happening now when it wasn't before, in most cases.

That is the model that we have been working under to take standards into account and make sure that

you can use that data for multiple purposes, and also to eliminate some of the transcription and duplicate machinery so that you can use standards to do the interoperability.

Taking that model a step further, we tried to get onto the HITSP program for several years and say, don't forget about clinical research. That took place for about three years while we went through 13 use cases.

Finally, last July, they said, it is a good idea and we should look at clinical research. We are out of money, so if you all can raise the funds from any stakeholders interested in clinical research, then we will take this into the HITSP program.

We raised funds from over 40 different contributors. No one organization is driving this; let's put it that way. It has been very collaborative. We took it in through the onc process to get the use case written. This was delivered to HITSP at the end of April, and it is currently, as of this week, going through the HITSP process now to identify standards to support this.

The case was selected by a group that ANSI

convened last November. It is actually a case of taking a core data set and exchanging it from an electronic health record system to research systems. The group decided to start there because that could provide a foundation upon which you can then build. You can take clinical genomics and add it on top of that core data set. You can also add eligibility criteria and safety reporting. The idea was to create an infrastructure through which health care advances clinical research and then in turn informs clinical care.

We are leveraging the existing standards. Yesterday, in fact, they finished the requirement stage and identified a candidate set of standards, and that will go through the process. We are hoping that it finishes up by around August or September, if we are lucky.

We have defined research very, very broadly. We use basically the NIH definition, which means it is not just regulated clinical trials. It includes epidemiology, outcomes research, and other kinds of research. We have done the definitions of these different steps in the process also very broadly.

A research site is any site doing research. It could be an academic setting. It could be a health care location. It could be a pharmaceutical company. That is the site. The sponsor would then be a PhRMA company, a CRO, an academic research center, or a vendor. The idea is that the research site would then be able to share that research data with the sponsor, whoever the sponsor might be, and then that research data could be used for multiple purposes, whether it is safety reporting or data safety monitoring boards or IRBs, whether it goes into a scientific publication or it is posted on ClinicalTrials.gov, or if it goes to regulatory authority. That is what the use case basically looks like.

I'm going to just say this is the core data set that we are looking at. It may not turn out looking exactly like this after we go through the HITSP process, but you will get an idea of what it contains.

I'm going to turn it over to Jessica to talk about the genomic-specific information there.

Presentation by Jessica Nadler, Ph.D.

[PowerPoint presentation.]

DR. NADLER: As I think we have been talking about before, we can somewhat think of genomic data as just another set of clinical data. It is clearly very important for both biospecimens and a lot of the different outcomes that we have been talking about to be able to link the clinical data with a specimen with genomic data that is appropriate for the patient and whatever biospecimen.

To build on the core data set that Becky just told you about, we wanted to move forward and talk about data standards for clinical genomics.

We started with a workgroup just in the federal government. This was spearheaded by Liz Mansfield at the FDA and Ken Buetow at NCI. We are starting to expand it throughout the federal government. We are reaching out and are interested to get input from other agencies that can help us to get this right.

Our plan is to move forward with a public meeting and get public input from both inside and outside the federal government, having a public meeting in the fall.

The idea with this was that what we really need

is a standardized set of terminology so that we can move genomic data around, contain it within an EHR, and be able to record not just the result of a genetic test or the result of a set of genomic experiments but be able to report every step in the process. In fact, every step of this process is important for understanding the outcome and the result that gets reported at the end.

I have depicted on the bottom here our working model of all of the steps along the way that are important for getting to a result at the end and the ability to understand what that result means once you have it in front of you.

There are standards that are being worked on for these things. Let me just back up for a second. There are clearly a bunch of processes under here for the specimen itself: collection and handling for the production of genomic information, the analysis of the data, and the gleaning of biological information from that analysis.

There are standards for some of these things. There is ongoing work with HL7 for standards for genetic variation. Of course, we heard about standards for

reporting family history data. They are developing standards for reporting gene expression data and are going to move forward with standard reporting for genetic testing.

This, again, just reiterates some of the things that we have been talking about. This type of information clearly has use both in health care and in clinical research. It has use in health care for tailoring screening based on familial risk factors and customizing treatment based on genetic profiling. It has use in genetic research for stratification of patients, use in drug metabolism, and use for biomarker discovery.

Standards will indeed have to be developed for some of the aspects in the model that I showed before, but there are standards that are already available for some of this that will allow us to move forward with it.

I want to emphasize with this that when we talk about standards, standards are used in two different ways. When we say standards for clinical genomics, we don't want to say necessarily that this is how you do your experiment and that is the result that you will get.

We are talking about standards in a vocabulary and a

language in which you can report what it is that you did so that the data can be interpreted at the end.

There are some barriers to this. I think we have talked about a lot of this. There is the lack of a regulatory mandate for genomics data and the lack of a clearly defined process for biomarker validation. We have gone through greatly the idea of standards and the need for standards to facilitate data exchange. The standards need to be harmonized between what is going on in research and what goes on in health care so that when you have an answer from your research you can easily integrate it back into health care.

The idea that we can maintain multiple standards is not particularly useful, so it makes sense to get the standards for research and health care harmonized with one another. I think I will move forward with this.

I just wanted to emphasize again that the harmonization of the standards between research and health care really is critical. To be able to aggregate information across different stakeholders, whether that leads to comparative effectiveness research across

different health care provider settings or whether it has to do with clinical research, it is important that the standards all integrate with one another so that you can understand what the data is and what you can do with it when the results come out.

Also important is the idea of these standards being enabled for safety surveillance for drugs and devices, to be able to link biomarkers with population characteristics and outcomes, and to facilitate research for clinicians concurrent with their clinical care. Data that is being collected in the EHRs will be on every physician's desktop and accessible for comparative effectiveness research. The data should be generally accessible to develop the evidence that we need to move forward with all of the processes we have been talking about for the last two days.

The use case for the clinical research piece of this, the core data set for clinical research, is available on the ANSI website. This is just contact information for Becky and me. Of course, we have the cast of thousands who helped contribute in getting the use case to HITSP. This is one set and this is the next

set. Indeed we did have a lot of people contributing to get that work moving forward. I will close with that.

Question-and-Answer Session

DR. TEUTSCH: Thanks to you both. Do we have a question or two? Dr. Williams.

DR. WILLIAMS: I have two questions. The first one is relatively straightforward. After the HITSP process is completed, is there an intent that this will go to the Certification Commission for Health Care IT?

DR. KUSH: First of all, CCHIT, as of January, did put clinical research on their roadmap. As of July, there will be a workgroup that will start developing certification criteria for EHRs. They will use the interoperability specification out of HITSP and also an EHR clinical research functional profile that we validated through Health Level 7. Those will help to inform the certification criteria process.

DR. WILLIAMS: Excellent. The second question I have relates to a discussion that we had here yesterday with David Blumenthal, the new head of the Office of the National Coordinator, which you weren't here for. My question is whether there is direct engagement of the

activities that you are doing with the Office of the National Coordinator, and is there representation from you or someone like you on either their policy or their standards committees.

DR. KUSH: I'm not sure exactly how the standards and policy committees were formed, but there are people on there who work with Health Level 7 and CDISC, not directly the CEOs of those groups. There are people who represent research, namely Chris Shut, who is involved in Health Level 7 and CDISC. He is on the standards committee. I know that someone who was at NCI and understands research is on the policy committee as well. We are hoping that they will represent research.

We did have a meeting with John Glaser on Tuesday to try to make sure that they are not forgetting research, but it would be appreciated if anyone or everyone could recommend that that stay on the agenda.

DR. WILLIAMS: We have a letter.

DR. KUSH: We have worked very hard to get it on there. We fall in all the gaps you could fall into. We talked to AHIC. They were on their way out. NeHC wasn't quite ready yet. Everything was in a gap mode,

which is why we had to create our own funding mechanism.

ANSI and HITSP have been extremely supportive.

I'm hoping that if we get this standard produced in the TIGR Team period that they have going on right now that it will have an opportunity of making it into the standards that are declared at the end of the year. Any recommendations from any of you to help make that happen would be appreciated.

DR. TEUTSCH: We actually just drafted something that is going to David.

DR. WILLIAMS: This is really tremendous work.

DR. TEUTSCH: This has come up repeatedly in our meetings as an important step. Adam.

DR. KANIS: This might be a little bit of a basic question, but with the interoperability and the mixing of the research part, some of these samples will have been obtained with different informed consents. Does who this information can go to follow that individual data piece all along the way?

DR. KUSH: That is a good question. I will tell you what we are doing. There is a set of contextual permissions that goes into the EHR when it is programmed

to do a trial so that it knows what data are supposed to be collected for which study and that kind of thing. That should have attached to it who gets to see what data and where it goes. That is a whole privacy-security piece that goes along with the core set of data, which is basically just a set of elements and their code lists that get transformed around. There are different layers.

DR. KANIS: That is pretty much what I was asking. Thank you.

DR. TEUTSCH: Great. Thank you very much. As I said, these are important topics. We appreciate your coming. This will continue to be on our agenda. Thank you. Thanks for all your work.

DR. KUSH: Thank you.

[Applause.]

DR. TEUTSCH: We have come to our second public comment period. As you all know, this is a time for us to hear from the public. We get important insights. I think we have one speaker today, Jeff Voigt, who I believe has some comments about how high the hurdles should be for genomic tests. Jeff, we look forward to hearing from you.

PUBLIC COMMENTS**Comments by Jeff Voigt**

MR. VOIGT: Thank you. Thanks, first of all, for letting me speak today. My name is Jeff Voigt. I'm an independent reimbursement consultant who works with early stage medical technologies.

With a number of my clients being diagnostic genetic testing companies, I'm not a lobbyist and do not work for any trade association or any special interest group. My main interests in providing public comment are to ensure the companies I work with are able to compete and that the medical industry continues to improve upon the overall quality of care it provides in a manner that is affordable.

I would like to talk to you a little bit today from the perspective of someone who works in the trenches. I work directly with a lot of these payers and their policymakers, including Medicare. I'm here today to speak about an issue that relates to how diagnostic genetic tests are being evaluated for coverage determinations by payers, including Medicare.

In February 2006, the Secretary's Advisory

Committee published a report on coverage and reimbursement of genetic tests and services. In this report, a recommendation was made that the Secretary should form and task a group to develop a set of principles to guide coverage determinations made for genetic tests and services. This group had been asked to assess the type, quality, and quantity of existing evidence for specific genetic tests to determine whether the evidence is adequate to establish a test's analytical validity, its clinical validity, and its clinical utility.

I would like to focus on the issue of clinical utility and its definition in this report and earlier reports. Clinical utility in this 2006 report refers to the usefulness of the test and the value of the information to the person being tested and to the clinician. Some publicly available plans at that time also cited a definition for clinical utility as a test's ability to directly influence the disease management of the covered member.

In an earlier report by this group, clinical utility was defined as follows: clinical utility takes

into account the impact and usefulness of the test results to the individual, the family, and society. Even in the absence of a clear benefit in reducing the burden of illness and death, benefits such as the minimization of diagnostic delays, reproductive health planning, and psychological support should be considered in constituting evidence of clinical utility.

Since it appears that the 2006 recommendations have not been followed through on, and at least I have been told that, many of the payers, including Medicare, have filled this void with their own set of criteria for coverage. In my recent dealings regarding coverage criteria for diagnostic genetic testing with payers, clinical utility has been defined as clinical outcomes. Establishing positive clinical outcomes of a genetic test carries significant implications for health care innovation, especially for early-stage companies, and potentially for the quality of care provided to enrollees of many of these health plans.

Being able to establish a direct effect of a clinical test result on the health outcomes is extremely challenging and sometimes infeasible. The impact of the

diagnostic test on health outcomes is very often confounded by such variables as the use of other tests in sequence or in combination, physician behavior and their decision-making, the types of treatments that have been employed, patient adherence to the treatment regimens, or other patient behaviors -- I can name numerous other factors associated with that -- initiated following the diagnostic use or the diagnostic result.

In other words, it takes a leap of faith that the results of a diagnostic test have an/or any effect on the outcomes. AdvaMed cited something similar to this back in November of 2005, in a report it gave to this group.

The ramifications of having to establish clinical outcomes for coverage are significant for early-stage companies. First, the costs and resources to perform these types of trials can be enormous. In the journal *In Vivo* in the March 2009 issue, they cited two examples of where clinical outcomes were measured, one in particular by Jay and Jay. That particular test, that clinical trial, cost \$25- to \$40 million to perform.

Second, the time frame to complete these types

of trials may be prolonged, taking years to complete.

Third, clinical outcomes may differ by payer based on enrollee demographics. Medicare may require a clinical outcome for its population. Other private payers may require a different population be studied. In order to power these types of trials for statistical significance, large numbers of patients may need to be enrolled and then followed up on over a lengthy time frame. These types of trials suggest drug-like trials, with the price tags to match.

If clinical outcomes become a requirement for establishing a positive coverage determination, it will undoubtedly reduce investment in new genetic tests and the market introduction of these new tests. This in turn will have an adverse effect on the quality and potentially the overall costs of care.

I would like to suggest the following: the Secretary form and task the group it recommended back in 2006 to develop a set of principles to guide coverage decision-making for genetic tests and services immediately. A diverse group of representatives should participate, including payers, the medical community,

manufacturers, statisticians, and the general public. These principles should be communicated to the payer community as quickly as possible.

Clinical utility should be defined, keeping in mind the effects of confounding variables in establishing any relationship between diagnostic genetic testing and health outcomes. Despite the push for health care reform and the concomitant push to rein in health care cost, we should not establish policies that stifle health care innovation.

There are a number of companies I work with that are developing exciting new genetic tests that are potentially more accurate, provide better information for clinical decision-making, and will undoubtedly improve decisions made by clinicians, thus improving the overall care provided in an affordable way.

Lastly, what I find very interesting to note is that there are diagnostic genetic tests currently covered by various payers which have no evidence that they improve clinical outcomes. What happens with these tests? Are they grandfathered in for coverage?

I would like to thank the Secretary's Advisory

Committee for allowing me the opportunity to present. I sincerely hope these views are shared by others in the genetic testing community, especially those early-stage companies which will be at a tremendous disadvantage if clinical outcomes become the bar for coverage. Thank you.

DR. TEUTSCH: Thank you, Jeff. You should know that these are really important issues for the committee that we discuss regularly. We understand some of the tradeoffs here and wrestle with them on a regular basis. We appreciate that input and look forward to continuing to work on those tough issues. Thank you for coming.

Closing Remarks

Steven Teutsch, M.D., M.P.H.

DR. TEUTSCH: We have come to the end of our regular meeting. I don't have any additional agenda items, other than to bring your attention to some of the things that we have accomplished over the last two days. Cathy will have those for me soon so I will have my memory tickled.

We began yesterday, of course, with an update from the Genetics Education and Training Taskforce. We

reviewed their process going forward and particularly look forward to them coming back with some very granular, specific, actionable recommendations. We will have a chance to review them at the next meeting.

Then we heard from David Blumenthal, who took over at ONCHIT. In follow-up to his discussion, we drafted a memo to send back to him about things that we would like to move forward. We got input from many of you with some very helpful suggestions and thoughts. That is being completed. We will get that to David, hopefully in time for his meeting next Tuesday.

Then we spent the bulk of the day on genetics and the future of the health care system. After considerable discussion, we identified several gaps that we thought should be brought to the attention of the Secretary, particularly as the health reform issues move forward. You see the four of them there. One was about enabling the incorporation of genetic information into health IT. There was the need for evidence development in the area of genomics. Then the continued issue of coverage and reimbursement of genetic tests, and then how genomics can be incorporated into future practice models

such as continuing care in the medical home.

We then had a fascinating discussion after an enormous amount of work from our DTC committee. I think we went back and re-reviewed that report and are going to be adding an executive summary that will really move a couple of things forward. One was some clarification about genetic tests as medical tests and which are which.

Then, assuring that the same levels of oversight and standards apply to those tests as do for those that are part of medical care.

We are going to be bringing all of that back for review at the meeting, and we will put in the appendix much of the background information that has already been assembled.

Today, we had a group of speakers talk to us about where comparative effectiveness and clinical utility are. You can see the range of issues that we covered. We need to see if we have a role in trying to influence these issues and if there are things that we can advise the Secretary about. We talked about everything from priority-setting for ARRA funding and how genomics fits in there, incorporating patient engagement

into decisions about trials, some of the specific issues in genomics that relate to personalized versus population approaches, disparities and diversity, and particularly for genomics, how this fits into patient stratification.

We talked about the need for the kind of infrastructure that needs to be put in place if we are going to capitalize on genomics. That fits within the comparative effectiveness world and relates to standards, quality of lab testing, support genotyping, and biobanking.

We talked about the kind of studies that could bring these technologies to the level of evidentiary standards that we expect in a more efficient way. We talked about the challenges to implementation of the findings in clinical practice, about the learning health care system, and then coordination.

Because that is a simple task, we gave it to Marc Williams to form a subgroup and to bring back a focused proposal for us to consider. These are the people that we got down as either volunteering or being volunteered to be on the taskforce with Marc. If we missed anybody or errantly categorized anybody, please

let us know. Then we heard some updates, of course, from the various partners.

It has been an extraordinarily productive meeting. We gather here again on October 8th and 9th here in the Humphrey Building.

With all of that, I wish you all well. Safe travels. Many thanks for all your input.

[Whereupon, at 2:55 p.m., the meeting was adjourned.]

+ + +

CERTIFICATION

This is to certify that the attached proceedings

BEFORE THE: **19th Meeting of the Secretary's Advisory
Committee on Genetics, Health, and Society
(SACGHS)**

HELD: **June 11-12, 2009 – Vol. II**

were convened as herein appears, and that this is the official transcript
thereof for the file of the Department or Commission.

SONIA GONZALEZ, Court Reporter